

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20

THE ADVISORY COMMITTEE ON HERITABLE DISORDERS IN
NEWBORNS AND CHILDREN
IN-PERSON/WEBINAR

HRSA HEADQUARTERS 5600 FISHERS LANE
ROCKVILLE, MARYLAND 20852 (Pavilion)
Friday, May 5, 2023
10:01 a.m.

Table of Contents

1
2
3
4
5
6
7
8
9
10
11
12
13
14

COMMITTEE MEMBERS 245
EX - OFFICIO MEMBERS 247
ACTING DESIGNATED FEDERAL OFFICIAL 249
ORGANIZATIONAL REPRESENTATIVES 249
Welcome and Roll Call 253
Prioritization and Capacity Workgroup Interim Update 264
Committee Discussion 271
ACHDNC Decision Matrix 293
Committee Discussion 307
Ad-Hoc Topic Group Ideas 333
New Business 358

COMMITTEE MEMBERS

Kyle Brothers, MD, PhD

Endowed Chair of Pediatric Clinical and
Translational Research

Associate Professor of Pediatrics

University of Louisville School of Medicine

Ned Calonge, MD, MPH (Chairperson)

Associate Dean for Public Health Practice

Colorado School of Public Health

Michele Caggana, ScD

Deputy Director, Division of Genetics

New York Department of Health

Jannine D. Cody, PhD

Professor, Department of Pediatrics

Director, Chromosome 18 Clinical Research Center

Founder and President

The Chromosome 18 Registry & Research Society

COMMITTEE MEMBERS

(continued)

Jane M. DeLuca, PhD, RN

Associate Professor

Clemson University School of Nursing

Metabolic Nurse Practitioner

The Greenwood Genetic Center

M. Christine Dorley, PhD, MS

Assistant Director, Laboratory Services

Tennessee Department of Health

Jennifer M. Kwon, MD, MPH, FAAN

Director, Pediatric Neuromuscular Program

American Family Children's Hospital

Professor of Child Neurology

University of Wisconsin School of Medicine

Ashutosh Lal, MD

Professor of Clinical Pediatrics

University of California San Francisco

UCSF) School of Medicine

UCSF Benioff Children's Hospital

COMMITTEE MEMBERS

(continued)

Shawn E. McCandless, MD

Professor, Department of Pediatrics

Head, Section of Genetics and Metabolism

University of Colorado Anschutz Medical Campus

Children's Hospital Colorado

Chanika Phornphutkul, MD, FACMG

Professor of Pediatrics and Pathology and

Laboratory Medicine and Genetics

Director, Division of Human Genetics

Department of Pediatrics

Brown University

Hasbro Children's Hospital / Rhode Island Hospital

EX - OFFICIO MEMBERS

Agency for Health care Research & Quality

Kamila B. Mistry, PhD, MPH

Senior Advisor

Child Health and Quality Improvement

EX - OFFICIO MEMBERS

(continued)

Centers for Disease Control & Prevention

Carla Cuthbert, PhD

Chief, Newborn Screening and Molecular Biology Branch

Division of Laboratory Sciences

National Center for Environmental Health

Food & Drug Administration

Kellie B. Kelm, PhD

Director, Division of Chemistry and Toxicology Devices,

Office of In Vitro Diagnostics and Radiological Health

Health Resources & Services Administration

Michael Warren, MD, MPH, FAAP

Associate Administrator

Maternal and Child Health Bureau

National Institutes of Health

Diana W. Bianchi, MD

Director, Eunice Kennedy Shriver National Institute of Child

Health and Human Development

ACTING DESIGNATED FEDERAL OFFICIAL

LCDR Leticia Manning, MPH

Health Resources and Services Administration

Genetic Services Branch

Maternal and Child Health Bureau

ORGANIZATIONAL REPRESENTATIVES

American Academy of Family Physicians

Robert Ostrander, MD

Valley View Family Practice

American Academy of Pediatrics

Debra Freedenberg, MD, PhD

Medical Director, Newborn Screening and Genetics, Community

Health Improvement Texas Department of State Health Services

American College of Medical Genetics & Genomics

Robert Best, PhD, FACMG

Interim Chief Executive Officer

ORGANIZATIONAL REPRESENTATIVES

(continued)

American College of Obstetricians & Gynecologists

Steven J. Ralston, MD, MPH

Chair, OB/GYN Pennsylvania Hospital

Association of Maternal & Child Health Programs

Karin Downs, RN, MPH

Maternal and Child Health Director (retired) Massachusetts

Department of Public Health

Association of Public Health Laboratories

Susan M. Tanksley, PhD

Manager, Laboratory Operations Unit

Texas Department of State Health Services

Association of State & Territorial Health Officials

Scott M. Shone, Ph.D., HCLD(ABB)

Director

North Carolina State Laboratory of Public Health

ORGANIZATIONAL REPRESENTATIVES

(continued)

Association of Women's Health, Obstetric and Neonatal Nurses

Shakira Henderson, PhD, DNP, MS, MPH, RNCNIC, IBCLC

Health Board Director

Vice President, Research Officer

University of North Carolina Health

Child Neurology Society

Margie Ream, MD, PhD

Associate Professor

Director, Leukodystrophy Care Clinic

Director, Child Neurology Residency Program

Nationwide Children's Hospital, Division of Neurology

Department of Defense

Jacob Hogue, MD

Lieutenant Colonel, Medical Corps, US Army

Chief, Genetics, Madigan Army Medical Center

Genetic Alliance

Natasha F. Bonhomme

Vice President of Strategic Development

ORGANIZATIONAL REPRESENTATIVES

(continued)

March of Dimes

Siobhan Dolan, MD, MPH, MBA

Professor and Vice-Chair, Genetics and Geonomics Department of
Obstetrics, Gynecology, and Reproductive Science

Icahn School of Medicine at Mount Sinai

National Society of Genetic Counselors

Cate Walsh Vockley, MS, LCGC

Senior Genetic Counselor

Division of Medical Genetics

UPMC Children's Hospital of Pittsburgh

Society for Inherited Metabolic Disorders

Susan A. Berry, M.D.

Professor, Division of Genetics and Metabolism

Department of Pediatrics

University of Minnesota

Welcome and Roll Call

1
2 DR. CALONGE: Good morning. Welcome
3 everyone back to the second day of the May 2023
4 Advisory Committee on Heritable Disorders in
5 Newborns and Children Advisory Committee
6 Meeting. Again, I want to thank all the
7 presenters and the public commenters that shared
8 with us yesterday.

9 I think these will help move us forward
10 as we start to spend the day discussing
11 Committee processes, updates, and improvements
12 that we might be able to bring to bear. Our
13 topics include an update from a workgroup that
14 was commissioned and contracted with last year,
15 the Prioritization and Capacity Workgroup.

16 Then we're going to talk about the
17 decision matrix, and about potential ways to
18 simplify and clarify our processes around the
19 matrix. And then we're going to talk about ad
20 hoc topic workgroups, potential topics to try to
21 recruit participants for specific areas, one of
22 which is being our conflict of interest
23 processes, but then topics that were identified
24 by the workgroups in the last two, three
25 meetings now, so I appreciate that.

26 And then we have some items for new
27 business. With that I'd like to turn things over

1 to Leticia for the roll call.

2 MS. MANNING: Good morning, everyone. I
3 hope folks had a great evening yesterday after
4 the meeting. And before I start with the roll
5 call, I do want to provide you all reminders of
6 our evacuation procedures. If you're in the
7 building, please exit the way you came in. That
8 direction, and then cross the street into the
9 parking lot, and that will be our rally point.

10 I also want to remind folks we have
11 bathrooms. There's four sets here in the
12 pavilion, and please stay on the fifth floor
13 level. And now I'll go into roll call, and I'll
14 start with the members. From the Agency for
15 Health care Research and Quality, Kamila Mistry,
16 Kyle Brothers?

17 DR. BROTHERS: Here.

18 MS. MANNING: Michele Caggana?

19 DR. CAGGANA: Here.

20 MS. MANNING: Ned Calonge?

21 DR. CALONGE: Here.

22 MS. MANNING: From the Centers for
23 Disease Control and Prevention, Carla Cuthbert?

24 DR. CUTHBERT: Here.

25 MS. MANNING: Jannine Cody?

26 DR. CODY: Here.

27 MS. MANNING: Jane DeLuca?

28 DR. DELUCA: Here.

1 MS. MANNING: Christine Dorley?

2 DR. DORLEY: Here.

3 MS. MANNING: From the Food and Drug
4 Administration, Kellie Kelm?

5 DR. KELM: Here.

6 MS. MANNING: From the Health Resources
7 and Services Administration Michael Warren?

8 DR. WARREN: Here.

9 MS. MANNING: Jennifer Kwon?

10 DR. KWON: Here.

11 MS. MANNING: Ash Lal?

12 DR. LAL: Here.

13 MS. MANNING: Shawn McCandless?

14 DR. MCCANDLESS: Here.

15 MS. MANNING: From the National
16 Institute of Health Melissa Parisi?

17 DR. PARISI: Here.

18 MS. MANNING: And Chanika Phornphutkul?
19 She's not here. All right. Ned, back to you.

20 DR. CALONGE: Org reps?

21 MS. MANNING: My apologies,
22 Organizational Representatives. From the
23 American Academy of Family Physicians, Robert
24 Ostrander. Okay. From the American Academy of
25 Pediatrics Debra Freedenberg?

26 DR. FREEDENBERG: Here.

27 MS. MANNING: Robert Ostrander?
28 American College of Medical Genetics Marc

1 Williams?

2 DR. WILLIAMS: Here.

3 MS. MANNING: From the American College
4 of Obstetricians and Gynecologists Steven
5 Ralston. From the Association of Maternal and
6 Child Health Programs Karin Downs.

7 MS. DOWNS: Here.

8 MS. MANNING: From the Association of
9 Public Health Laboratories Susan Tanksley.

10 DR. TANKSLEY: Here.

11 MS. MANNING: From the Association of
12 State and Territorial Health Offices Scott
13 Shone?

14 DR. SHONE: Here.

15 MS. MANNING: The Association of
16 Women's Health, Obstetric and Neonatal Nurses
17 Shakira Henderson?

18 DR. HENDERSON: Here.

19 MS. MANNING: Child Neurology Society
20 Margie Ream?

21 DR. REAM: Here.

22 MS. MANNING: From the Department of
23 Defense Jacob Hogue? From the Genetic Alliance
24 Natasha Bonhomme?

25 MS. BONHOMME: Here.

26 MS. MANNING: From the March of Dimes
27 Siobhan Dolan?

28 DR. DOLAN: Here.

1 MS. MANNING: From the National Society
2 of Genetic Counselors Cate Walsh Vockley?

3 MS. WALSH VOCKLEY: Here.

4 MS. MANNING: And from the Society for
5 Inherited Metabolic Disorders Sue Berry?

6 DR. BERRY: Here.

7 MS. MANNING: Thank you.

8 DR. CALONGE: I want to officially
9 welcome Sue since she's with us in person today,
10 and it's great to see you and put a face with
11 the name. So, let's see where we are. We're to
12 the point -- you remember that we talked about
13 the minutes yesterday. We've been having a
14 discussion after my first three meetings, the
15 length of the minutes, the level of detail, and
16 the fact that we already print the entire
17 transcript on the website for every meeting, as
18 well as all the slides.

19 Going from that transcript to detailed
20 minutes, are timely labor intensive, and we'd
21 like to move to a high level minutes, kind of
22 action oriented, which is almost the way every
23 board I'm on, or a committee deals with, and
24 then provide a direct link to the transcript to
25 provide the additional information and level of
26 detail that I think individuals who want to
27 review exactly what's said, what was said, have
28 available to it.

1 There were some Committee members who
2 provided substantial comments to the original
3 draft of the minutes, and in the spirit of
4 moving towards action-oriented minutes we
5 decided instead to remove the sections for those
6 additions and have - refer people to the posted
7 slides in the transcripts to get the additional
8 detail.

9 These are the minutes we've emailed to
10 the Committee members. We've also distributed
11 hard copies. I think what I would like to do is
12 propose that we save the vote until the end of
13 the day to give you all opportunities to see
14 what we've distributed, and see what you're
15 voting on, and so that's kind of where I'm at
16 the with minutes.

17 So, moving on to our agenda for today.
18 In 2022, a prioritization and capacity workgroup
19 comprised of current and former Committee
20 members and subject matter experts was created
21 to look at how the Committee might respond if
22 many conditions are nominated in a short period
23 of time. I'd like to welcome Dr. Kemper to
24 provide a presentation to update us on this.

25 We all remember Dr. Kemper as the
26 Division Chief of Primary Care of Pediatrics at
27 Nationwide Children's Hospital, and Professor of
28 Pediatrics at the Ohio State University College

1 of Medicine. His research focuses on the
2 delivery of preventative care services,
3 including newborn screening.

4 Since 2013, Dr. Kemper has also served
5 as a Deputy Editor of Pediatrics. Dr. Kemper?

6 DR. KEMPER: Thank you very much, Dr.
7 Calonge, and this will be a 15-minute
8 presentation now, and then discussion, and then
9 we'll follow it with another section. It just
10 suddenly struck me that for me talking for 15
11 minutes is the shortest period of time I've ever
12 been up here.

13 So, and before we get going, I want to
14 also make sure to thank Ms. Manning for all the
15 help that she's given us on this project. So, do
16 I use a key to advance? Oh, that will be easier.
17 So, I want to thank the project leads who helped
18 put this work together. I guess I can't use that
19 either. No, now it's fine. I'm going to take
20 back what I said about 15 minutes if this keeps
21 going this way.

22 And I really do want to thank the
23 workgroup members who are listed here, who have
24 given us feedback and advice about the work that
25 I'm going to be sharing with you today. I'm not
26 going to read all the names, but I'll leave it
27 up there for a second.

28 So, the project goals I'm going to talk

1 about are three-fold. The first is to explore an
2 alternative strategy for soliciting nominations.
3 This is the first time at this meeting that
4 we've discussed this particular goal. The second
5 goal is to develop an approach to prioritization
6 in the event that there's more than one
7 condition at a time that meets the criteria for
8 evidence-based review.

9 And then the third goal is to provide
10 the input to the Advisory Committee about
11 potential revisions to the nomination form, and
12 ultimately to the decision matrix. I'll be
13 talking more about the decision matrix later,
14 but I think as you'll see, the other aspects of
15 this project will lead into that discussion
16 we'll have a little bit later this morning.

17 So, first I want to begin by talking
18 about an alternative strategy for a conditioned
19 nomination.

20 So, as everyone in this room knows, the
21 current nomination process begins with advocates
22 submitting a nomination package. And when I use
23 the word advocate, I'm using it in the broadest
24 sense. So typically, when we think of advocates
25 in this room, we think of family members of
26 affected individuals, but here I'm really
27 talking about anybody who thinks that a
28 particular condition should be added to the

1 recommended uniform screening panel, or the
2 RUSP.

3 Now, that nomination package includes a
4 lot of detailed information, including
5 information on the case definition of the
6 condition, what's known about the natural
7 history of the condition, accuracy of screening,
8 so sensitivity, specificity -- that sort of
9 thing. What's known about the benefits and harms
10 of treatment and screening overall, and
11 information about outcomes of perspective
12 newborn screening activities.

13 So, once this package is put together
14 it goes to the designated federal officer of the
15 Committee, who confirms that all the material is
16 submitted, and then that's when the nomination
17 prioritization workgroup reviews the package,
18 and might ask for additional information if it
19 seems like there's a gap in the package.

20 And then ultimately the workgroup and
21 the Chair present to the full Committee the
22 nomination package, and then a deliberation
23 ensues about whether the condition should move
24 to full evidence review. So, that's the current
25 state.

26 So, the challenges with the current
27 nomination process include the fact that it
28 requires significant work to nominate a

1 condition, and there's been some current
2 concerns that have been raised in this group
3 about whether or not that may disadvantage
4 conditions that are not well resourced.

5 And then there's also concern that
6 despite revisions that have been made to the
7 nomination package, it can still be difficult to
8 complete, and that there can still be important
9 gaps by the time it gets presented to the
10 Advisory Committee to make a decision about
11 whether or not to move it in for full evidence
12 review.

13 So, let's see if I can move it on. So,
14 in terms of the alternative approach to
15 condition nomination, and again I've put this
16 forth for the Committee to discuss, could be
17 built on the process that the U.S. Preventive
18 Services Task Force uses. And as I think many
19 know, I was a former member of the Task Force,
20 as was Dr. Calonge.

21 So, I'm going to describe how this
22 might work, and one of the things that I want
23 you to remember as I view it at least is that
24 it's still critically important to have
25 advocates engaged in the process that I'm going
26 to describe.

27 Here we go. So, the way that I envision
28 it is first of all that the Advisory Committee

1 website would allow advocates again, in the
2 broadest sense, to nominate a condition with
3 basic information about the nominated condition.
4 So, a case definition, the screening method, the
5 contact information of the nominator.

6 I put this up as an example for you all
7 to consider, so you know the scope could
8 obviously be, and I'm sure will be, modified if
9 this process moves forward. Then, once that
10 happens, the nomination and prioritization
11 workgroup would review the material that was
12 submitted to make sure that the nomination was
13 sufficiently clear, and that it was in scope for
14 the work of the Advisory Committee.

15 And then, after that, HHS through HRSA
16 would develop the nomination package, so again
17 instead of having the advocates develop the
18 nomination package, that would move to HRSA to
19 do, and that could either be done internally, or
20 externally, and that process would involve
21 feedback from the nominators and other subject
22 matter experts.

23 Okay. I'm never quite sure where to -
24 can you just go ahead and advance it? There we
25 go. Number four, then the nomination and
26 prioritization workgroup would consider the
27 package with a recommendation to the Advisory
28 Committee according to its usual process, so

1 again moving the bulk of the development of the
2 nomination package from the advocates to some
3 other process that HRSA would oversee.

4 **Prioritization and Capacity Workgroup Interim Update**

5 DR. CALONGE: So, I want to file that
6 away, and I want to move to the second topic I
7 told you I would talk about, which was the
8 strategy for prioritizing nominated topics. This
9 is something that we've discussed a couple times
10 in the past. Here we go. Oops, now it went too
11 far.

12 So the rationale for doing this is
13 really to prepare for the possibility that
14 multiple conditions could be eligible at the
15 same time for referral to evidence-based review,
16 to remind you all that prioritization is not
17 meant to stop a condition if it meets the
18 criteria for evidence-based review for moving
19 forward, but just timing the flow if this
20 happens.

21 And as the workgroup considered a
22 process for doing this the key consideration was
23 around the potential public health impact, and
24 you'll see how that plays out in the scoring
25 system we're going to show in a little bit.
26 There we go. So, we developed a point system
27 that was modeled on the previous work that the

1 American College of Medical Genetics, now the
2 American College of Medical Genetics and
3 Genomics developed, with the additional, very
4 original formation of the recommended uniform
5 screening panel, with the look back at how that
6 was done and really modify that.

7 There we go. So, I'm just going to lay
8 out here a few important points. First of all,
9 the point system I'm going to show you was based
10 on consensus, and you can argue about, you know,
11 exactly how we assign points. It's difficult to
12 do any sort of formal validation of a point
13 system, but it sort of, you know, had face
14 validity.

15 The other thing is it's not intended to
16 capture all the elements of screening for the
17 targeted conditions. Again, it's not a, you
18 know, it's not supposed to be a large review in
19 itself, but just a way to be able to prioritize
20 conditions based on the potential public health
21 impact.

22 As you see scores, as we pilot tested
23 it, it was based on what was available in
24 nomination packages. So, we went back in time
25 and looked at some of the earlier nomination
26 packages because the nomination package has
27 evolved over time. Some of the things that we
28 were looking for weren't exactly in there in the

1 way that the more recent one is.

2 And then the other thing is it relies
3 on the values and the opinions of each person
4 assigning the points. So, there's some things
5 that are pretty straightforward in terms of the
6 birth prevalence of the condition. But there are
7 other things that really rely on the person's
8 values and preferences around early detection.
9 You'll see that in a second.

10 And the way that I envision it is the
11 members of the nomination and prioritization
12 workgroup would assign points, and I would fully
13 expect that there's going to be differences for
14 the reasons I've described before, and that that
15 should lead to a conversation where things could
16 be resolved by consensus.

17 There we go. And I think that the
18 process is going to evolve over time with
19 experience and further validation of the
20 process. With the caveat that thus far it's
21 never come up that more than one conditions had
22 to be prioritized this way. And then finally the
23 point system is different than the Advisory
24 Committee recommendation process.

25 It's really only intended to
26 prioritize. So, I think that if it comes into
27 play you can imagine that the points would be
28 assigned, prioritization would happen through

1 the transparent way to communicate that to
2 stakeholders in the Advisory Committee process.
3 But the point system wouldn't be carried over
4 beyond that into anything related to evidence
5 review.

6 There we go. So, if you put on your
7 readers, because I apologize that this is so
8 small, but I really did want to have this all in
9 one slide. I'm going to go over this, but this
10 is the final point system that we came with. So,
11 what I'll say at a high level is that the
12 approach prioritizes conditions with the clear
13 case definition.

14 It gives greater weight to those that
15 are presented significant public health burden,
16 and where pre-symptomatic treatments is likely
17 to be beneficial. The scoring system does
18 consider implementation, but the points that are
19 assigned to it are lower. And what I would like
20 to do, and so, and you can see the mathematical
21 formula, which is $(A \times B \times C \times D) + (E \times F)$.

22 And I'm just going to at a very high
23 level, go through the various categories. So, A
24 is related to the case definition at the time of
25 screening, and whether or not the nomination
26 package included a clear case definition. B is
27 the birth prevalence, and you can see that it's
28 not linear. The score doesn't go up linear based

1 on the birth prevalence, but for conditions that
2 are expected to have a birth prevalence of less
3 than 1 per 500,000, that gives you a point 2 for
4 points, so actually lowers the score when you
5 multiply it out, all the way up to those
6 conditions that are more than 50 per, or more
7 than 1 per 50,000, which would go up to 4C. C is
8 related to the natural history and asks the
9 question about the likelihood of a poor outcome
10 when treatment is initiated after clinical
11 identification, and it's based on the judgements
12 of the person reviewing it. D is related to
13 outcomes from pre-symptomatic identification.
14 Again, based on perspective of the person
15 reviewing the nomination package.

16 E is related to the feasibility of
17 screening, and F addresses issues of diagnostic
18 certainty, or uncertainty. So, again I want to
19 thank the workgroup for coming up with these
20 categories, and the points, and let me just show
21 you how this played out. There we go.

22 So, what we asked is for members of -
23 so our plan is that members of nomination
24 prioritization workgroup, would decide points
25 differences resolved by discussion with the
26 final score presented to the Advisory Committee.
27 That's what I said a second ago.

28 But here's some lessons from pilot

1 testing the scoring system with our workgroup.
2 What you can see is the overall rank of the nine
3 conditions, beginning with the one that scored
4 the highest was severe combined
5 immunodeficiency, and the condition with the
6 lowest score was Krabbe disease. What you can
7 see is that for some conditions the scores were
8 fairly broad, and the conditions that were
9 nominated earlier tend to have the broadest
10 score because there was less information that
11 was directly tied to the things that I talked
12 about before that was on the nomination form.

13 But in general, there was agreement,
14 and like I said I would imagine that if this
15 point system were used, that there would be, you
16 know, all members of the nomination and
17 prioritization workgroup would independently
18 score things, and then if there is broad
19 differences in how things were scored, that
20 would be resolved by additional conversations.

21 But, you know, the system did seem to
22 distinguish and at least spread things out. At
23 the risk of repeating myself again, I want to
24 say that these scores are not the same as the
25 final decision that would happen, but is only to
26 help prioritize things in the event that there
27 are multiple conditions that need to be looked
28 at, at the same time, and the Advisory Committee

1 decides to spread them out a little bit.

2 So, in terms of next steps, I'd like to
3 put forth these considerations for the Advisory
4 Committee. The first is modification of the
5 process used for the nomination process, based
6 on what I said before, around the Advisory
7 Committee -- around the U.S. Preventative
8 Services Task Force methods, and to give a
9 greater specificity, I would imagine that there
10 might be a, you know, nomination season, like
11 January through August, and then a period of
12 preparation time, and voting to keep things
13 moving forward.

14 And then again, as I just went through
15 in great detail, the scoring system when more
16 than one nomination must be prioritized at the
17 same time. Now depending upon what the Advisory
18 Committee chooses to do with this, I think that
19 there's an opportunity to update other Advisory
20 Committee processes based on those decisions,
21 including revision of the nomination form to
22 better align with the point system that I just
23 outlined, as well as potentially updating the
24 decision matrix.

25 Again, I'm going to save my comments
26 about the decision matrix until after the next
27 Advisory Committee comment, or discussion
28 period. Let's see if I can hopefully -- oh,

1 there we go. And I will stop there.

2 DR. CALONGE: Thanks Alex. I just
3 wanted to pause long enough to say the
4 prioritization ranking has nothing to do with
5 whether or not an evidence review, a discussion
6 from the Committee would or would not add an
7 addition to the RUSP. It's a separate process
8 that's intended for one purpose, which is if we
9 have two conditions at the same time, which one
10 would we take first.

11 So, I kept looking at the list, and I
12 wanted to assure people the rank order of the
13 list doesn't reflect what the evidence review
14 discussion and decision of the Committee would
15 be on any of the conditions. That's very
16 important. Okay. To that, I'd like to open the
17 issue for discussion. Janine?

18 **Committee Discussion**

19 DR. CODY: My first question is about
20 the original slide about submission. So, I
21 appreciate that having the advocates just do
22 just an initial thing, and handing it to HRSA to
23 complete takes the guess work out for the
24 advocates, but at the same time it takes it out
25 of their hands, and so is there a timeline for
26 that?

27 Because the advocates could feel like

1 it just got buried in the bureaucracy, and never
2 to be seen again.

3 DR. CALONGE: Yeah. So, I mean I think
4 that's an important point, and since we have
5 timelines for the other issues, I think trying
6 to respond promptly, and with frequent, periodic
7 updating of the nominators, and inclusion of
8 them in the process, I think is kind of what we
9 envisioned keeping it moving along, and not
10 having it buried. Yeah. Michael?

11 DR. WARREN: Thank you. Appreciate that
12 point. I think it's a really good one, and I
13 think the sort of counterbalance will have to
14 think of if that process becomes much more
15 streamlined, and the input is much less, you
16 could imagine a voluminous number of suggestions
17 potentially. And so, thinking about how we match
18 the reality of that with the capacity we'll need
19 to just think through what the realistic
20 expectations say.

21 I'm a firm believer in your point about
22 good customer service and response, and just
23 having a shared win and some resources, and
24 thinking how we match that.

25 DR. CALONGE: I appreciate the comment
26 on reality. So, I did want to make one other
27 comment, and in the original days of the
28 Advisory Committee, all of the nominations came

1 from the Advisory Committee. And so, the move to
2 have the nomination package, and the early work
3 all done by the nominator's advocates, and non-
4 profit interest groups was a revision to the
5 process.

6 And I think, as I've talked with
7 advocates, and groups, it isn't an onerous task
8 to request of -- especially advocates for
9 conditions that may have less access to
10 resources in order to put together a complete
11 and compelling nomination package. I want to
12 keep that in mind. Kyle?

13 DR. BROTHERS: I just wanted to express
14 my support. I think obviously there's been a lot
15 of attention given to make sure that we're not
16 moving the goal post by making some of these
17 changes, and I think that we're really trying to
18 avoid doing that. think, you're having seen some
19 of the nomination packages over the last couple
20 of years. They are just super difficult to do.

21 I mean just the fundamental idea, the
22 case definition, can be very hard to
23 communicate, and try to you know, articulate in
24 a nomination package, and so I think this is
25 really a brilliant idea. I think, I mean, it
26 provides technical support in the development of
27 nomination packages, and still making it a
28 process that the advocates and experts are very

1 active and giving feedback on, and participating
2 in, I think is probably an ideal direction to go
3 here.

4 And, you know, in terms of the
5 prioritization's team, you know, if we were to
6 be making decisions based on this we could
7 probably pick at some of the details about what
8 each thing is worth, but I think in a very
9 general sense, a sense of this is really just a
10 matter of what goes first. I think it really
11 seems to work very, very well.

12 I would just want to be mindful of
13 responding to Michael's comment just now, that
14 we just want to look at this prioritization
15 score very carefully to make sure that we could
16 categorize the condition very early on in the
17 process before a lot of detailed analysis has
18 taken place, because ideally the prioritization
19 would take place really early, and you know, I
20 could think, you know, things like how common a
21 condition is, that's probably relatively easy to
22 come up with.

23 Whether or not there is a clear case
24 definition is the kind of thing that you might
25 need to dig a little bit deeper before you would
26 be able to answer that question. So, I just
27 think - not that we needed to do this and, you
28 know, right now, and in conversation, but it

1 would be good to get a little background on that
2 and just make sure do we think we can answer
3 each of those questions without a completed
4 nomination package, basically.

5 DR. CALONGE: Thanks Kyle. And Alex, I
6 don't know if you wanted to respond to that
7 question or? I do think one of the things I
8 heard, Kyle, was that the prioritization number
9 could change over time, and so thinking about
10 updating those, especially when we have multiple
11 conditions, might be something we need to build
12 into the process.

13 DR. KEMPER: So, I agree with
14 everything that Kyle said. You know, we wrestled
15 with this issue of the case definition. What I
16 can tell you is that on the evidence review side
17 of things when the case definition is not
18 crystal clear, it ends up really sort of slowing
19 down our side, so that was why we really
20 embedded it into the process.

21 The way that I'm envisioning the
22 prioritization score, is that these are all
23 conditions that are, you know, couldn't be
24 referred for evidence-based review, so it's just
25 an issue of the timing. And so, I would
26 encourage the Advisory Committee, you know, if
27 another condition comes in that happens to have
28 a higher score, but there's been one with a

1 lower score that's been in the queue, you know,
2 I wouldn't want to disadvantage that other one
3 that's been waiting because you can imagine that
4 there might be something that would never come
5 up.

6 Now I said that again with the caveat
7 that this has never actually happened before in
8 the past, so it's hard to know whether that what
9 happened. But the way I envisioned it, at least
10 it wasn't that the prioritization score would be
11 redone on a regular basis, like once it was
12 assigned, and the thing was in the queue, it's
13 allowed to move through in a timely way. Did
14 that make sense?

15 DR. CALONGE: Yeah. That's very
16 helpful. Thanks for the clarification. Okay.
17 Sir, do you want to sit there?

18 DR. KEMPER: Am I getting put in the
19 corner? I'll stand over here.

20 DR. CALONGE: No, no. Ash?

21 DR. LAL: Thank you. So, my one comment
22 is about the alternative approach to condition
23 nomination once that condition moves forward,
24 you know, the proposal is that a person would
25 vote the nomination package internally extremely
26 with feedback from nominators and subject matter
27 experts.

28 And I think that from where we are

1 today to go to this process is that it seems
2 like a very big change to me, and I was
3 wondering if we could change this a little bit
4 and say that HRSA would partner with the
5 nominators and the advocates, in having them an
6 external group that is just providing feedback,
7 but to actually have a creative voice in how the
8 process moves forward. Thank you.

9 DR. CALONGE: Yeah. I do believe that
10 the idea was to not take voice or power away
11 from the advocates. And to turn away, or not
12 fully include the guidance direction expertise,
13 and skills of experts. And so, I think how we
14 describe that relationship in a partnership
15 makes a lot of sense, and I think that, Ash, to
16 me you bring up the issue that the success will
17 be in the details, of how that's done.

18 I do believe fairly strongly that the
19 advocates and parents and experts will tell us
20 if we're not being responsive. And the hope is
21 that those relationships will prove themselves
22 over time, but I appreciate that comment, and as
23 we think about implementation, thinking about
24 the locus of control being a partnership is an
25 important point, thanks. Anyone -- everyone feel
26 okay with that? Jennifer?

27 DR. KWON: Just to add to that. You had
28 just alluded to the fact that there's been a

1 shift on how nomination packages have been put
2 together, and I don't really understand the
3 background, and I think that just to maybe help
4 people like Ash and myself, if there was like --
5 if it was clear what the background was for
6 going from HRSA to the advocacy groups putting
7 together the nomination package, and now that,
8 that might be helpful to understand.

9 DR. CALONGE: So, I can only make sure
10 that people like Jeff, or others can validate
11 this. I think the issue is that the Committee
12 themselves were very comfortable when we were
13 working in the space of A level recommendations.
14 And once, we, if you will, had worked through
15 the A's, the evidence and the clarity of
16 nominating a condition became less clear, and I
17 think that's when the separation occurred, and
18 was turned the nominating process over to the
19 advocates and the experts.

20 And while I understand that, it does
21 seem like it was a shift from an area, from a
22 partner that had resources, to a partner that
23 may not have resources. And so, that's I think
24 that's what we're trying to shift back towards.
25 Melissa?

26 DR. PARISI: Melissa Parisi, NIH. So,
27 first of all I like the efforts to try to
28 provide some case definition to the disorders

1 that are being nominated, and I think that
2 that's really helpful, and I think that's
3 actually been a key component that has allowed
4 for better clarity of some of the conditions
5 that have been discussed recently, when we
6 really understand what it is that's being
7 proposed for review.

8 I guess I do have a couple of comments
9 and/or questions related to this new process.
10 One of them is actually kind of a question for
11 Alex. So, my understanding is that your evidence
12 review group has the capacity to do up to two
13 nominations per year, or evidence reviews per
14 year, is that an overstatement maybe you want to
15 omit.

16 DR. KEMPER: I mean, we've never had
17 more than two at once. My personal believe is we
18 would scale up based on what was needed, but I
19 think that there are other considerations that
20 came into play, including the ability of the
21 Advisory Committee to do the kind of complex
22 decision making around multiple decisions and
23 that kind of thing, but it just really hasn't
24 come up.

25 DR. PARISI: I mean I guess I'm
26 reflecting the fact that if you only have a
27 certain window for nominations, and everything
28 gets bunched up, then that could end up creating

1 some disparities in workload, and then you might
2 have something that's been languishing since
3 they were submitted in January until being
4 considered, so maybe rethink the number of
5 windows of nomination periods that you might
6 want to consider, leaving them at two.

7 DR. KEMPER: I put that window in just
8 as a straw person. I termed that to be gender
9 specific here, but just as a point of debate.
10 And I was thinking that that would allow, you
11 know, HRSA, and the Advisory Committee, the
12 Nomination Committee, to be able to you know,
13 just take stock of what was there. But it may be
14 that it would just be a continuous process as
15 well.

16 So, I don't know if that's the right
17 thing or not, but just wanted to put that out
18 there to make sure that it entered into the
19 debate.

20 DR. PARISI: Got it. I don't know - do
21 you have a comment on that specifically? Because
22 I had another question, but it was a different
23 topic. So, my other comment is really around the
24 scoring matrix used for the prioritization, and
25 I worry a little bit about the disadvantage, at
26 least the straw person's scoring matrix as
27 you've just showed, that rare disorders will be
28 at a significant disadvantage if they're only

1 allowed a point two weighting.

2 And again, you know, I think I need
3 more time to digest that, and of course there's
4 going to be more discussion around the points
5 assigned. But in the past, the rarity of the
6 condition has not been a major consideration for
7 how conditions are reviewed for addition to the
8 RUSP.

9 And if that is going to start becoming
10 an important consideration, then I think there
11 needs to be significant discussion about that.

12 DR. KEMPER: Let me just give you
13 insight into the conversation that we had
14 because this was a, we had like multiple calls
15 about this particular thing. So, the issue was
16 not whether or not the condition would go to
17 evidence review, but which one would go to
18 evidence review first.

19 And the feeling was that if a condition
20 were to potentially impact more newborns, than
21 that one should go ahead of one that could
22 potentially impact fewer newborns, knowing that
23 both conditions would ultimately move forward,
24 so it wasn't to put like a value judgement on
25 whether or not, you know, condition A or
26 condition B should be added to the RUSP, but
27 just which one should go first to evidence
28 review if a decision needed to be made on that.

1 And that's where the public health
2 perspective came into play. So, both conditions
3 would go through, but the one that could
4 potentially impact more individuals would go
5 through first, and that's what the rush in offer
6 that was for.

7 DR. PARISI: Right. Although you could
8 envision a situation where you have rare
9 condition like GAMT that could be languishing
10 even though it's got a very robust algorithm,
11 you know, screening algorithm, and a pretty
12 effective treatment.

13 DR. KEMPER: Yeah.

14 DR. PARISI: Because it's less common,
15 and something that may have.

16 DR. KEMPER: GAMT actually didn't - and
17 now I can't remember where it was, and one of
18 the things that I would hope again that came of
19 the process is that really nothing would be
20 languishing. It's just a matter of the rapidity
21 which it would go through. And again, it just
22 hasn't been an issue in the past, but I mean
23 your points are well taken, and they were things
24 that we argued with as a group.

25 DR. PARISI: My final comment, and then
26 I'll pass on the microphone, is that in this
27 process I wonder if there would be an
28 opportunity for the nominators to also give

1 feedback with regard to the final prioritization
2 score before it was formally presented?

3 And the reason I say that is
4 recognizing all the caveats that you gave, when
5 you looked at those sample conditions there were
6 really quite wide ranges for quite a few of the
7 conditions. A range of 6 to 105 is huge, and so
8 if there is that much discrepancy among the
9 prioritization workgroup, it seems like the
10 nominators should have an opportunity to
11 potentially weigh in on that and give some
12 feedback, so that's my final thought. Thank you.

13 DR. CALONGE: Shawn?

14 DR. MCCANDLESS: Very quickly. The
15 concept of moving the goal post in the process
16 has been mentioned several times this morning. I
17 think this is just -- I would just like to point
18 out that what appears to one person as moving
19 the goal post really is a process that's in
20 evolution, and this process has been evolving
21 constantly since it started.

22 And I think that the new system for
23 prioritization that you're providing actually
24 really helps interest groups to better
25 understand where the process is at the time that
26 they're making the nomination, so I think that's
27 a very valuable point, especially to follow up
28 on Melissa's point that assuming that it's an

1 interactive process between the HRSA team and
2 the nominators.

3 The second is another point that
4 Melissa brought up, but I think needs to be re-
5 emphasized. And that is that this is a great
6 approach to prioritization, but it really
7 doesn't address the problem of the timeline and
8 the capacity for evidence review.

9 So I think we just need to keep that at
10 the forefront of our thoughts and be -- we don't
11 have to make decisions now, but just be seeking
12 about what that might look like if we do reach a
13 point that there are five or eight conditions
14 backed up waiting for evidence review.

15 DR. CALONGE: Thanks Shawn, Michael?

16 DR. WARREN: Thank you. Just to add a
17 clarification point. I appreciate all of these
18 perspectives because I think it's helpful, as
19 our team formulates and works with Ned to think
20 about a proposal, a strong proposal for the
21 committee to react to.

22 A couple of things that come to mind
23 for me. One, this notion of a partnership with
24 nominators. I think it's really important that
25 this not be something that administratively we
26 take on, but there is this ongoing dialogue back
27 and forth that may actually smooth the process
28 from some of the burden that nominators have

1 experienced before, but not to take away that
2 opportunity for folks to engage.

3 The other I would go back to is you
4 know, I think the part of my brain that then
5 goes to how do we balance the budget, also
6 thinks about the resource limitations that go
7 with this, and so I do think the feedback that
8 you all are sharing today is really helpful, and
9 as our team works with Ned to come back with a
10 more concrete proposal for this whole process,
11 thank you.

12 DR. CALONGE: Thanks Michael.
13 Christine?

14 DR. DORLEY: Yes. So, this is a
15 question that I have regarding the natural
16 history. I just wonder why a low likelihood
17 scoring was not included. It seemed like a huge
18 jump from one to five. And then a second
19 question is surrounding the feasibility of
20 screening. I would just like a little bit more
21 clarity on what you mean by an additional
22 sample, or additional punch from the dry blood
23 spot.

24 Coming from the screening perspective,
25 that does not seem like that should be a huge
26 issue in implementing the screening process.

27 DR. KEMPER: Yeah. So, let me take
28 those questions in order, so that the first is

1 about the natural history, and then why weren't
2 the points system flushed out more? So, I'll be
3 the first person to say that the point
4 assignment system was not based on any
5 particular science, but the input from the
6 workgroup and trying different scales and
7 different mathematical functions to get to the
8 final score, and it seemed to work. I'd be happy
9 to, you know, refine it further and have more
10 levels.

11 What I would say is even with that like
12 very simplistic dichotomist thing it seemed to
13 serve the role of separating the conditions out
14 enough to move forward to the nomination
15 process. So, you know, again it had face
16 validity, and just sort of seemed to work.

17 What I can tell you on the feasibility
18 side of things, that was a relatively small part
19 of the overall score, and for the conditions
20 that we run through it didn't really make a big
21 difference in terms of if a condition would be
22 prioritized more than another. There were
23 members of our workgroup who pointed out that as
24 you ask for additional punches from the dry
25 blood spot, there's a, you know, a limited
26 number of samples that you could take that it
27 was a precious commodity, and that there is some
28 worry that that could impact things.

1 But I can tell you just from playing
2 around with the score and the conditions that we
3 looked at it really didn't make much of a
4 difference one way or another, but that was the
5 rationale for where that came from.

6 DR. CALONGE: Natasha?

7 MS. BONHOMME: Natasha Bonhomme,
8 Genetic Alliance. I have a couple of things to
9 go through as a response to many things that
10 have come up, so sorry if this seems like a
11 laundry list. One, for just to add to the
12 context of history. Years, and years and years
13 ago Genetic Alliance actually got a very small
14 bit of funds from HRSA to do technical
15 assistance with advocacy groups who were doing
16 nominations.

17 So, just to say that, that that was
18 also part of the model that I think a lot of the
19 people didn't really see, and again, that was
20 really going more towards consistency, our role
21 of being in the room, since many times advocates
22 feel like they're not in the room to see how
23 things are happening, to poorly quote Hamilton.

24 But so, there have been different
25 models of support, which we're happy to again,
26 talk about. A question I have is will there be
27 time for advocates, and as you said the broader
28 sense of advocates to give feedback on this

1 process. Again, they're not necessarily in the
2 room to be part of this discussion, and what
3 would that look like?

4 Is it just, you know, you're just
5 anticipating at the next committee meeting, or
6 will it be through public comments, or will
7 there be a more engaged dialogue, not just you
8 know, advocates reporting out and then taking
9 that information, but an opportunity for actual
10 dialogue around this, or is that something
11 you're determining, not trying to put anyone on
12 the spot.

13 DR. CALONGE: Yeah. I think when we
14 originally thought about it was to involve the
15 voice of the interest groups through the
16 organizational reps the way we do today. I think
17 if there are alternative routes that we can make
18 sure fit within the confines of the time we
19 allot discussion and meetings, and I would
20 welcome that.

21 And hopefully, we'll be transparent
22 enough with what we propose that there will be
23 ample time for at least written comments, if not
24 public comments, during this session.

25 MS. BONHOMME: I think that would be
26 really important because even the Committee, or
27 workgroup, or ad hoc group, I can't remember
28 which term was used, that was pulled together

1 for this. I did see that there were two, if I
2 remember correctly, people who represent
3 advocacy, which is great. I don't think they
4 would necessarily put themselves in the
5 categories of more on the under resourced side,
6 or the ultra-rear side, so even from that
7 beginning there's that.

8 To the scoring, I know it's been said
9 many times that the score is not going to impact
10 or determine anything in terms of the actual
11 review of the condition. That's great. I think
12 that's also something to test, and to see, and
13 to see if there may even be an unconscious bias
14 towards a particularly low score compared to a
15 particularly high score, and that's not an issue
16 of just one person.

17 It's just you know, we're humans, and
18 how our brain works, and if we really like the
19 higher scores, and then we have something coming
20 in at a lower score, just I don't know how to
21 track that, but I think just to say oh, there
22 won't be an impact isn't necessarily enough, so
23 just something to watch.

24 And then again, sorry I'm trying to
25 track as I was tracking the conversation here.
26 Have we had an opportunity to go back to
27 nominators and systematically collect what their
28 experience has been, and what they think would

1 be a better approach forward? Like have we done
2 that type of an analysis?

3 DR. CALONGE: Not systematically.

4 MS. BONHOMME: Okay.

5 DR. CALONGE: We did ask the
6 participants in the process.

7 MS. BONHOMME: And I know that many of
8 the Committee members have been really gracious
9 with their time, and coming to meetings, coming
10 to the boot camp that we put on with EveryLife
11 Foundation, and we really appreciate those
12 opportunities. I'm just trying to think how do
13 we bring that into this room here. And then
14 again, sorry for the laundry list, I guess my
15 last point would be to the discussion about
16 moving the goal post, and just trying to help
17 that. I think one of the issues with the moving
18 of the goal post is not that it's just a oh, an
19 evolution. It's that the goal posts are moving
20 in the middle of the discussion.

21 So, the conversations that groups have
22 been having with this Committee, with HRSA, with
23 different people for years, then in this room it
24 seems things are changing, so it's just a little
25 bit of a difference between that evolution. It
26 isn't as though oh, we haven't thought about
27 that, let's go back and really process it.

28 It's that evolution is happening in

1 real time, just saying that's just a bit of a
2 different nuance, why it really feels like a
3 moving of a goal post as opposed to just a yeah,
4 things move forward, things evolve, we learn,
5 and we build. Thank you. I appreciate all the
6 time.

7 DR. CALONGE: Thanks, Natasha. So, to
8 wrap up this discussion I think working with
9 HRSA staff, and prior prioritization group sorry
10 -- oh I'm sorry, Sue, we're just behind, but I'm
11 going to let you go.

12 DR. BERRY: I'll make it brief. I just
13 wanted, the one thing that I take away from this
14 that I find particularly important is that I
15 feel like it offers a better opportunity for
16 those small group for people who just don't have
17 a lot of expertise to have a comparable packet
18 put together, and that serves some elements of
19 justice that we otherwise haven't.

20 So, there are other things to fix. I
21 hear all this, but I like the idea that it's
22 more of an even playing field if we take that
23 responsibility and get a better packet from
24 everybody, so a fairness thing from my point of
25 view.

26 DR. CALONGE: I'm sorry, Karin?

27 MS. DOWNS: Thank you. I wasn't quite
28 sure where to put my thing. This is a very -

1 this is just a quick question. I'm fairly new to
2 the Committee, and so, and I'm coming from a
3 public health perspective here. But I just
4 wondered in the prioritization if there was any
5 consideration of how to measure equity, health
6 equity in terms of which might be given a little
7 more priority because it is, maybe more linked
8 to groups that are under resourced.

9 And I just had to ask that question, so
10 I just wanted to know.

11 DR. CALONGE: Well, I'm glad you did,
12 and I apologize that Alex didn't include that
13 part in the discussion.

14 DR. KEMPER: I should have added that
15 in, and I think you're 100 percent correct. We
16 really struggled with how to put it into the
17 point system. There's no clear way for us to get
18 it, and to capture that in a meaningful way. And
19 all I can say is that, you know, it's not to
20 delay any condition from moving forward, but if
21 this kind of process is adopted, I shouldn't
22 speak for the Advisory Committee, but I'm sure
23 everyone would be very interested, and if you
24 could come up with a strategy.

25 We just couldn't figure out how to do
26 it in a way that drew naturally from the
27 information that was on the nomination form, but
28 I think you're exactly correct.

1 DR. CALONGE: Marc, I'm going to have
2 to cut off the discussion. I apologize, but
3 we're 15 minutes behind, and need to catch up,
4 so if you want to make sure you write your
5 comment, and we'll make sure that it's
6 considered going forward. We will work together
7 on more formal proposal for discussion by the
8 Committee in a future meeting, and really
9 appreciate the discussion and the guidance, and
10 suggestions that have been put forward. And
11 Alex, I wonder if you would move on towards the
12 decision matrix.

13 **ACHDNC Decision Matrix**

14 DR. KEMPER: Yeah. I'll be able to do
15 this quickly I believe. There we go. So, this
16 conversation is really to tee up a conversation
17 about potential changes in the decision matrix,
18 and so this is like a visit into the way back
19 machine. So, first I'm going to begin way back
20 in the Jurassic pre-matrix era, so in June of
21 2004, before I was born, was the first meeting
22 of the Advisory Committee, which included a
23 consideration of the uniform panel and then in
24 2005 the ACMG, the ACMG at the time, expert in
25 the panel recommended 29 core conditions and 25
26 additional conditions as secondary targets.

27 They used the point system that was

1 based on the incidents, signs, and symptoms for
2 the first 48 hours for the disease. Benefits of
3 early intervention, family and societal benefits
4 or early intervention, prevention and mortality,
5 accurate and feasible screen test available in
6 treatment, cost of treatment, efficacy of
7 existing treatment, ability to make diagnoses,
8 and availability of services for key management
9 and simplicity of therapy.

10 So, those were all the components that
11 led to the score. And this is a figure from the
12 report that was generated showing MCAD on the
13 left with the highest score, and then if you
14 read closely in there, I believe it's cystic
15 fibrosis where there was a sort of break point
16 in terms of where things were included. And you
17 can see their score went from 400 to 2,000.

18 Can you just advance me? There we go.
19 So, in May of 2010 the Secretary of Health and
20 Human Services accepted the recommendation which
21 created the original recommendation for the
22 screening panel. And I'm going to move up and
23 talk about the developments of the decision
24 matrix.

25 So, originally it was based on this
26 assessment and net benefit following the
27 matrix's used by the U.S. Preventative Services
28 Task Force where on the top you can see the

1 magnitude of that benefit, and on the left you
2 can see certainty and net benefit, and you sort
3 of find out where you are on that grid and end
4 up with A, B, C, D or an insufficient, if
5 there's a low certainty of net benefit.

6 And this is language from the U.S.
7 Preventative Services Task Force, and I think
8 it's helpful to think about that as we reflect
9 back on the matrix. Again, for those of you who
10 don't know, the U.S. Preventative Services Task
11 Force makes recommendations to primary care
12 clinicians about things they should do with
13 their patients in the primary care setting
14 related to prevention.

15 And it's the A's and B's where the
16 suggestion for practice is to offer it to
17 patients, or to provide this service. These are
18 the ones that where the service is discouraged
19 because there's the potential harm with no
20 potential upside. The C recommendations are
21 where the benefits of the likelihood of benefit,
22 and the likelihood of harm are more equally
23 balanced with a little bit more likelihood of
24 benefit than harm.

25 And in those situations, that's where
26 you're supposed to have a conversation. I think
27 it was C standing for conversation with the
28 family to figure out what to do. And then an I

1 statement is when the evidence just isn't there
2 to make a decision for or against something, and
3 that's where you should turn to other
4 references, assess patient preferences, use your
5 expertise to figure out what to do.

6 And there's often times confusion
7 between the C's and I's, and I just want to take
8 a moment to explain it again because you'll see
9 how it plays out on the matrix. So, and I is --
10 there's just no evidence, and look other places.
11 And the C is there might be a benefit, and
12 that's where you want to have a conversation
13 with the patient or the family about what to do.

14 Next slide please. There we go. So,
15 then there was an expert panel held in 2012,
16 that was ultimately approved in 2013, that led
17 to this matrix, and you can see how the matrix
18 is essentially the same as the U.S. Preventative
19 Services Task Force, one, but it has glued onto
20 it assessments of laboratory feasibility and
21 readiness.

22 And there was a lot of discussion about
23 whether or not there should be a two-step
24 process where net benefit was considered first,
25 and then issues of laboratory, or newborn
26 screening readiness, and feasibility. And
27 ultimately, it was decided to combine those
28 things because to really speed up the process

1 and not have this like drag down more
2 complicated decision-making process where the
3 assessment of feasibility and readiness wouldn't
4 happen until after net benefit was assessed. And
5 so, that's why these things were put together.

6 So, I do want to highlight in the
7 original article the description of the
8 recommendation process, and again, this was
9 written before there was much experience with
10 using the matrix, and you know, as you might
11 expect things change. So, in the original
12 documentation that came forth from the expert
13 panel, and then approved by the Advisory
14 Committee, was that things that were in the A-1
15 or A-2, would lead to recommendation to the
16 Secretary.

17 Things that were in A-3 or 4 would be
18 recommended to the Secretary for addition to the
19 RUSP at the discretion of the Advisory
20 Committee. Anything with a B, C, D, or an L was
21 not recommended. Now in terms of the assessments
22 of newborn screening feasibility and readiness,
23 that really came into play in 2013 in a formal
24 way when there was a survey that was developed,
25 and that's the part that's managed currently by
26 the Association of Public Health Laboratories,
27 so it wasn't until 2013 that that survey was
28 really implemented.

1 Can you just advance for me? Thank you.
2 So, here's the recommendations based on the
3 matrix from 2013, with Pompe disease through
4 2023 with Krabbe disease. You can see the matrix
5 ratings in the third column, ranging from NA to
6 a B-3. All of these conditions, except for
7 Krabbe Disease were recommended for the
8 recommended uniform screening panel.

9 So again, there's been sort of
10 evolution in terms of how the matrix has been
11 used leading to recommendations, and the matrix
12 now is used to help communicate from my
13 perspective at least, to help communicate
14 amongst members of the Advisory Committee where
15 they think the evidence is, and then to come to
16 consensus with the rating, and then moving from
17 the rating to the recommendations is a separate
18 consideration.

19 So, here's some considerations for
20 discussion. Next slide. So, again, as the matrix
21 has evolved the question is, is it a decision
22 aid, or is it a prescriptive tool to help decide
23 whether or not to move something to the Advisory
24 to recommend to the Secretary for the
25 recommended uniform screening panel.

26 I think the questions come up multiple
27 times of whether or not feasibility readiness
28 really should be separated out from the matrix

1 and be a separate consideration. I mean
2 obviously that can be done in the same meeting
3 versus at another time. I think that there's an
4 opportunity to clarify the language throughout,
5 including how the Advisory Committee now
6 considers benefits and harms, as well as issues
7 of feasibility and readiness.

8 Or it could be that the Advisory
9 Committee wants to adopt an alternative
10 approach, but I hope that this, you know, brief
11 walk through memory lane, helps begin the
12 conversation about the future of the matrix.
13 Taking into account, of course, as multiple
14 people said is, you know, there's no interest in
15 changing the goal post, but really to clarify
16 the process, and make it more transparent. Next
17 slide please.

18 Oh, that's the end of it. Very good.
19 Thank you very much.

20 DR. CALONGE: Thanks Alex. Bring up the
21 next set of slides. So, no? I do, as the slides
22 are coming up, tell you that this is a
23 preliminary discussion, recognizing that input
24 from Committee members, organizational reps, and
25 interest groups will be important in the
26 decision-making process for new changes to the
27 matrix.

28 And I thought we could start somewhere.

1 Okay. Next slide please. Could we go back? Go
2 back. Thanks. Okay. Now advance. So, suggested
3 changes if I was going to summarize them is I
4 think thinking about separating out the elements
5 of readiness and feasibility are important.
6 Actually, looking back at the decisions that
7 have been made, especially in the last few
8 years, the public health readiness and
9 feasibility has not really had a big influence
10 on what was voted to move to the RUSP or not.

11 I'm not saying that it's not a very
12 important piece of information, but I will say
13 that the answers tend to be about the same for
14 every condition, and again, they don't seem to
15 have impacted decision making at the level of
16 the Advisory Committee. I think there could be
17 better approaches to looking at barriers to
18 implementation that should be evaluated
19 separately from the evidence-based decision to
20 add or not add a condition.

21 I think once that decision is made, it
22 should be made on the basis of the evidence
23 looking at the balance of benefits and harms.
24 It's the best way to make that decision, and
25 then figuring out how to best engage states,
26 state laboratories, state newborn screening
27 systems, which include interaction with
28 clinicians, the health care system, and more

1 than the state laboratory are things that can
2 occur driven by the evidence-based decision to
3 add a condition to the RUSP.

4 I think then that gets us to a single A
5 grade, which would propose that would be a high
6 certainty of net benefit, and then a B grade
7 that is now inclusive of moderate certainty of
8 substantial net benefit, or a high certainty of
9 a moderate net benefit.

10 So let me say that again. We're
11 moderately sure there's a significant net
12 benefit, or we have a high certainty that
13 there's a moderate net benefit. The C grade
14 would then be inclusive of moderate to high
15 certainty of a zero or small net benefit, or net
16 harm. And the I grade, which we do not currently
17 have would be a low certainty grade indicating
18 that the evidence is currently insufficient to
19 assign one of the other grades. Next slide
20 please.

21 Now what happens to the decisions is
22 predicated on what grade you get, so like in the
23 past conditions with an A grade would be
24 forwarded to the Secretary with a recommendation
25 to add to the RUSP. Now we would look at these
26 differently than the matrix is written, but more
27 in line with how we practice on the Committee.

28 So, B grades will be discussed by the

1 Committee, and on the basis of a second vote,
2 will be forwarded or not to the Secretary. So,
3 this is kind of where we are with most of our
4 conditions now, is that we have B's that the
5 Committee discusses, and rather than the
6 original matrix, when those weren't forwarded,
7 we have an additional discussion about whether
8 to add those. That gets C's back to conditions
9 that would not be forwarded to the Secretary,
10 and the I grade assignment allows us to tell the
11 research community and interest groups that the
12 data are currently insufficient, and where the
13 gaps are that would need to be filled in order
14 to assign another letter grade.

15 Next slide. So, this is the last kind
16 of summary slide, which puts out altogether. You
17 have the certainty of net benefit and magnitude
18 of net benefit in the matrix above, so a
19 simplified matrix. And then the translation of
20 the letter grade in terms of its description,
21 and then action in terms of the addition to the
22 RUSP, so we have the matrix and the decisions in
23 one place. Next slide please.

24 Now that's the simple piece is creating
25 the matrix, presenting it, thinking about what
26 happens. But I want to point out that evidence-
27 based decision making involves judgment. And one
28 of the ways you get to votes that aren't

1 unanimous is that it's hard to create criteria
2 that says, oh yeah, that's evidence based. There
3 are judgments along the way around the key
4 elements, and one of the key elements is
5 certainty.

6 And so, the bar for different experts
7 around the table based on their experience,
8 knowledge and interaction with evidence-based
9 medicine could vary around the level of
10 certainty. And I was talking about certainty as
11 it's the opposite of the risk. It's trying to
12 capture the risk of being wrong. So, a high
13 certainty means a very low risk of being wrong.

14 And moderate certainty says well, it's
15 not that there isn't the risk of being wrong,
16 but we think we're certain enough that we're
17 less worried about that risk. So, that judgment
18 is very important. There are established
19 approaches for the decision that are based on
20 epidemiology, risk of bias assessments, strength
21 of evidence. There's a rich and evolving science
22 around certainty, and how we can most
23 objectively make those judgments over time, or
24 at least make the judgments with an agreement
25 around the elements that go into it. On the
26 other hand, the magnitude of net benefit is more
27 complex, and so I think even in the last day,
28 the last two days, and the last meeting, you see

1 the complexity of the magnitude of net benefit
2 decision.

3 And it becomes more complex in adding a
4 second level of net benefit. So, one of the
5 reasons the original matrix did not include
6 this, was this issue of what is the difference
7 between significant and moderate net benefit?
8 However, I will tell you in my experience on the
9 short time of being on the Committee a second
10 time, people are already using those phrases to
11 describe benefit.

12 And so, I think trying to, if we adopt
13 something similar to what I presented outlining
14 the criteria, or at least rough criteria, that
15 we can use to make the judgment consistently
16 over topics and time will be a challenge and
17 will be something that's important for us to
18 spend additional time on. And I think that's my
19 last slide. Oh, sorry. I wanted to come back to
20 this. I think we do want to talk about what we
21 want to achieve with the determination of public
22 health feasibility and readiness. The most
23 common answer to get back from the assessment is
24 that we can implement this within three years.

25 And the reality is then becomes most
26 states do not implement a newly added condition
27 within three years. And there are lots of
28 reasons for that, and we've heard individuals

1 involved in the system eloquently talk about the
2 complexity of moving from something you're not
3 doing to something you are doing at the state
4 level.

5 And the state public health laboratory
6 in the context of a system that then requires,
7 or could require diagnostic confirmation,
8 secondary testing, referral to specialists for
9 treatment, and follow through, through the rest
10 of the system.

11 And I think as eloquent as the current
12 system and survey is, I worry that we're not
13 getting the information that's useful to the
14 Committee in moving forward. So, I think talking
15 about the support that the Advisory Committee,
16 our partners at CDC, and other agencies, by
17 bringing to bear, and helping states implement
18 new conditions would be a good thing to
19 consider.

20 And then really think about the level
21 of support and prioritization from the decision
22 makers in the individual states. And they're
23 complex, and we tend -- I agree, we tend to,
24 because they're in the room, talk to our
25 laboratory directors. But they're the newborn
26 screening advisory committees. There's the state
27 public health laboratory director, who may not
28 be the same person as the person running the

1 program. There's the state public health
2 department executive directors who are balancing
3 their topics and issues for legislative
4 discussion and requests for resources.

5 There's the Governor's office, and the
6 executive directors of the health departments
7 work for the Governors, and so where is their
8 prioritization? And then the key legislators who
9 hold the votes necessary to do appropriations,
10 or make decisions, increase FTE, buy new
11 equipment, and implement projects.

12 So, I think thinking about the level of
13 support at multiple levels, if this is something
14 the Committee believes we need to be assessing
15 versus on the other side, thinking about how to
16 provide effective support for implementation,
17 are discussions I would like to have.

18 And I think the idea would be to create
19 a set of actions that any assessment we make
20 would be able to prompt, or help us make better
21 decisions, or bring other resources to bear.
22 Next slide. So, again, recognizing the
23 importance of this, and the importance of input
24 from interest groups and experts, I'm suggesting
25 we consider creating an ad hoc topic workgroup
26 to review possible revisions to the matrix,
27 including the assessment of public health,
28 feasibility and readiness should include

1 interested Committee members, organizational
2 representatives, and members of the public.

3 Our future meetings could include the
4 topic groups' progress and time designated for
5 public feedback. So, that's kind of the proposal
6 for moving forward, and I'll open the floor to
7 discussion. Let's start with Kyle. Hi Kyle.

8 **Committee Discussion**

9 DR. BROTHERS: Hey. Thank you so much.
10 I may have said this before, but I'm just going
11 to repeat it, I guess. I'm a little skeptical of
12 the use of a decision matrix that we're sort of
13 all expected to agree upon. My understanding of
14 the way the U.S. Preventative Task Force's
15 recommendations work is its classification is
16 actually policy relevant.

17 So, in an A it's treated differently in
18 practice than in policy than a B does. For us,
19 our decision is dichotomous. Either yes, we
20 recommend it, or no, we don't recommend it. And
21 I would be happy to be proven wrong, but I don't
22 think the states or other folks are implementing
23 the RUSP say oh, well we just don't do B's or
24 things like that, right?

25 I don't think they'd use the decision
26 matrix classification after a recommendation is
27 even made or is not made. If it's on the RUSP

1 then it's a thing, right? So, that raises the
2 question of what function does the decision
3 matrix serve? And this is a topic that we
4 discussed last time quite a bit, which is you
5 know, Dr. Warren and I disagree about where a
6 condition fits on the matrix.

7 I think that's totally okay, right?
8 Because you know, if I think the evidence is
9 high, is a good quality, the doctor warrants
10 these weaknesses there, our votes are going to
11 reflect that. So, it seems to me that it's not
12 that the matrix serves no purpose, it does serve
13 a really great purpose for me individually when
14 I'm thinking about whether I'm going to vote yes
15 or no, for a doctor were to think about this
16 vote, et cetera.

17 But I'm not sure as a Committee we need
18 to agree upon a classification because I'm not
19 sure it serves a purpose afterwards, and because
20 any disagreements about where it falls are still
21 going to be reflected in our individual votes.

22 So, I guess what I'm asking for is in
23 addition to thinking about how we structure, I
24 think we should be coming to a decision on
25 whether we think the classification is something
26 we need to sort of reach consensus on, or it's a
27 tool for our individual votes.

28 And I do think, as something you

1 suggested Ned, which is sort of digging a bit
2 deeper, and start to define what's the level of
3 evidence that we think classifies as a B, you
4 know, is it are we looking for some comparison
5 in siblings, or some other kind of piece of
6 evidence that would be relatively concrete?

7 Because I think, you know, even though
8 picking up a single person in a pilot is a
9 controversial requirement, you know, in my mind
10 it's at least concrete, and it really helps
11 groups decision where are fitting right now into
12 what the Committee says it expects, right? Our
13 individual votes still need to be individual
14 votes, but I think getting that more concrete
15 guidance is what's valuable to folks who are
16 thinking about whether it's time to nominate or
17 not. So, thanks so much.

18 DR. CALONGE: I appreciate that Kyle,
19 and I'm not trying to correct you. It just going
20 to sound that way, but the USPSTF A's and B's
21 are not different. They are different from the
22 standpoint of the magnitude of certainty and
23 benefit, but they're not treated differently in
24 terms of implementation.

25 All A's and B's are covered with first
26 dollar coverage under the Affordable Care Act,
27 and those become the do's. The D's and I's, the
28 D's become the do not's. We don't have a D who

1 kind of rolled them into the C's, and the C's
2 become kind of where we are at, with these B's.

3 It's like well, originally the C was
4 should not be routinely provided, and now
5 depending on the topic, and the sensitivity of
6 the topic, the C's have become you should ask
7 the patient about their preferences and values,
8 and then make a shared decision.

9 So, in some ways, if you just took the
10 B's and the C's and the I's, you get down to the
11 simplified decision matrix, which I think you're
12 talking about the issue about prescriptive
13 versus decision tool. Our decision assist tool,
14 which I think is important. But I do want to
15 point out that I mean I think the A's need to
16 continue to be on the matrix because I don't
17 know what the future holds in terms of either
18 identification and diagnoses of conditions, or
19 the availability of novel, new effective
20 therapies.

21 And so, that's why I think the A kind
22 of sits there. I think most of our decisions are
23 now more likely to be in the area of B's, and
24 you're right. At that point it's like yep, we
25 have a sense that there's a benefit that's
26 different than small, and we want to have a
27 discussion and a vote about whether to move that
28 forward. So, I appreciate those comments, and I

1 hope that helps clarify a little. Shawn?

2 DR. MCCANDLESS: Thanks. If there's
3 other comments about that particular topic, I'm
4 going to change topics. I'd be happy to defer.
5 Okay. I wanted to address the issue of
6 feasibility and readiness, which to me seemed
7 very different topics. Readiness seems to me at
8 least is the question of whether the states and
9 laboratories are equipped to do the testing,
10 whereas feasibility to me seems more about a
11 technical issue of whether there's an adequate
12 screening test, than is it broadly applicable.
13 And I would be hesitant to remove that
14 feasibility from the decision-making about
15 proposing the addition of something to the RUSP
16 because I think it's very important that there
17 has to be a good and reliable screening test,
18 and I don't know how else that would be captured
19 in the decision making process for the
20 Committee.

21 DR. CALONGE: That's a great point, and
22 Scott, I think it is -- I'm sorry Shawn, I think
23 it is captured within the nomination package
24 when you say there's an available I through put
25 task that identifies the condition with
26 acceptable screening tests attributes, false
27 positives, false negatives, and predictive
28 value.

1 So, I think we are capturing that
2 feasibility, and we discussed that, and at least
3 for -- well I think for both A and D and D we
4 had discussions specifically about the
5 feasibility of an availability of testing.

6 DR. MCCANDLESS: I guess my point is
7 not so much the question of are we capturing the
8 information, it's how do we use it. And so, it
9 would seem to me that actually, feasibility
10 should be the first discussion because if
11 there's not a feasible screening method, then
12 there's really not a lot of point in discussing
13 the rest of the issue.

14 So I would see it as feasibility first,
15 and maybe even that occurs during nomination
16 prioritization with a conversation with the
17 nominators, and so that the assumption of the
18 Committee is that anything that comes to them
19 that's already been documented feasibility for
20 screening, and so now it's coming to assess the
21 rest of the issues.

22 DR. CALONGE: Thanks. I'm going to do
23 Michele next. Sorry if I missed the order.

24 DR. CAGGANA: No that's okay because
25 putting Shawn before me exactly what was one of
26 the things that I was going to mention, that the
27 feasibility is key for programs. And I think one
28 of the other things is when I totally remember

1 Scott saying all the time about the readiness
2 tool, and that we all say the same thing.

3 But I think, getting at the
4 implementation barriers is really important, and
5 that the Committee needs to understand what
6 those are, you know, on a granular level,
7 because they're variable throughout the
8 different state programs. And if you're going to
9 be going to different parts of the health
10 department from the Governor's office to ask
11 about readiness, I think you also have to engage
12 the program directors, because they're the ones
13 on the ground that actually know that the true
14 readiness is, and can give you the reasons or
15 the rationale as why.

16 And then those other people are
17 decision makers, but I think we need to go to
18 those decision makers with a set- set of
19 concerns, and that will help. The other thing I
20 just sort of a question between the C and the I.
21 C, I seems like it's a no go, but will tell you
22 all the things you need to fill in.

23 So, I don't see the distinction between
24 a C where you're saying no go, and an I where
25 you're saying no go. I would assume a C would
26 also give you, you know, identify what those
27 gaps are and encourage people to come back
28 maybe.

1 DR. CALONGE: So, I feel a little bit
2 different than that.

3 DR. CAGGANA: Okay.

4 DR. CALONGE: There's a level of
5 certainty that the net benefits are either
6 small, zero or negative.

7 DR. CAGGANA: Okay.

8 DR. CALONGE: So, you've actually made
9 a decision because you're a C there's not
10 sufficient benefit, net benefits, benefits minus
11 R.

12 DR. CAGGANA: Yeah. I see that. I guess
13 my point is what you said just previous where
14 you can give somebody a C today, but now all of
15 a sudden there's a new development, and you
16 would encourage those people to come back, so
17 that's what I'm struggling with the whole
18 grading system on the lower levels to be able to
19 give people guidance on what's needed.

20 So, SMA was not treatable at all a few
21 years ago, and now all of a sudden is inherently
22 treatable, and that you know, that ricocheted it
23 to the top, right, so these are all influx. So,
24 I think giving people some guidance on what the
25 decision was is important.

26 DR. CALONGE: Okay. So, let me try it
27 one more time. If there is no treatment
28 available, then it would end up in the

1 insufficient. So, in order to get to a C, you
2 actually have to have at least moderate
3 certainty of the net benefit. If you don't have
4 the least moderate certainty of the net benefit,
5 you're in an I.

6 So, it's likely to rarely happen, just
7 like the A's are trying to stay optimistic about
8 A's coming, but this is like the proof that it
9 doesn't work. That's what you're talking about,
10 and at least in a period of time there's
11 sufficient proof, or sufficient evidence to
12 raise the level of certainty that it doesn't
13 work.

14 DR. CAGGANA: Okay. I think I get it.

15 DR. CALONGE: Those of us it's like, so
16 one of the things that I always say is where
17 there's an I, there's hope. I never minded
18 insufficient evidence when I was in the job of
19 actually implementing prevention programs,
20 because that was an area where you could bring
21 in a lot of information around where does it fit
22 in the broader stream of health care systems,
23 and patient preferences, and how do you work
24 around that while you're waiting for the
25 evidence to fill in. Yeah, thanks.

26 I have Carla next, and then Melissa.

27 DR. CUTHBERT: I just wanted to follow
28 up on what Shawn and some of what Michele was

1 talking about. This is Carla Cuthbert, CDC. And
2 as a federal agency, I just want to indicate we
3 do provide some funding for state programs. This
4 is something that we will continue to do.

5 We recognize that it's, you know, in
6 terms of how much money is probably needed, it's
7 a modest -- it's a small amount, but it's still
8 there, and we'll continue to do that. One of the
9 things that we've also found that has been
10 helpful recently is being able to provide onsite
11 technical support.

12 We've been hearing that some of the
13 states have needed some help in sort of
14 developing methods, and you know, many of the
15 states don't necessarily have someone dedicated,
16 a chemist dedicated to being able to implement
17 new methods internally, and we do have some
18 staff within our program that are able to travel
19 to states, spend a week there, and implement a
20 new condition.

21 You know, take a look and help
22 troubleshoot and so on, just to, you know, I
23 just wanted to make sure that I mention that as
24 possible areas of support that we could actually
25 provide. We also, together with APHL, there is
26 an on-site at CDC training opportunity that we
27 have for both molecular testing, and for Mass
28 spec technology, and we're starting that up

1 again post-COVID.

2 So, there's going to be opportunity for
3 them to do that. The one thing that I really,
4 you know, was thinking about when I was hearing
5 the issues of sort of separating out
6 implementation and that sort of thing, is my
7 mind just immediately goes to the what if, or
8 what happens when, an application comes that is
9 not using a dry blood spot matrix.

10 What if saliva, its urine, and there
11 may be some pilot that was created that shows a
12 really great benefit, and so on and that may
13 rank highly, but we're just literally not set up
14 to be able to do any kind of testing that would
15 require significant reworking of our workflow,
16 not impossible. But would require significant
17 workflow.

18 My mind just goes to that wondering
19 what will that mean for our programs to be able
20 to make that happen? You know, in some cases it
21 may not fit the three-year timeframe, and that's
22 my concern. Again, I'm not in a state program,
23 so I don't know how quickly, or how easily that
24 will translate.

25 So that's one thing that I've been
26 thinking about, and again I always say to people
27 that I'm a biochemical geneticist at heart, if
28 we ever get urine and if we ever get saliva,

1 that would open up a whole area of biomarkers
2 that would be amazingly fascinating, and would
3 be wonderful in terms of being able to identify
4 new diseases.

5 The second thing has to do with newborn
6 sequencing, and the very strong movement that
7 exists currently, but really wants to get that
8 happening, and again if that should happen
9 within a pilot program, how does that translate
10 here, because we know that many of our programs,
11 most of our programs are not able to move
12 forward in that regard.

13 So again, readiness, feasibility,
14 implementation, all be an issue, and that's what
15 I see when I think about this area.

16 DR. CALONGE: I really appreciate that,
17 Carla, and Shawn's comments as well. I think,
18 and I don't know if this is helpful or not. We
19 have laboratory people, both on the Committee
20 and on the organizational reps. And back when we
21 had the matrix that didn't include
22 implementation, it was those voices where we
23 considered whether or not it was a test we could
24 do, we could pull off.

25 And I think that would continue. I
26 think while I appreciate the comment about
27 program directors, so well said, I appreciate
28 that. I think for me, perturbing the decision

1 route evidence with the decision's route
2 implementation are where I think there's a
3 little -- for me there's a gap. It's like okay,
4 we know this is going to work, so then let's
5 figure out how to do it, and maybe that's an
6 issue about what does it mean to have a
7 condition on the RUSP?

8 I will tell you what it means today is
9 that unless you have legislation that says
10 you'll do it within a couple years, or three
11 years, it does not translate the implementation
12 in every state. And I don't know, I do know,
13 sorry I feel that states start to think about
14 implementation when the conditions had it.

15 So, I think again, they seem to me to
16 be two separate decisions, and while they're
17 linked to implementation and translation, and to
18 improve public health, requires implementation,
19 I think the science about whether or not the net
20 benefit is moderate or significant should remain
21 the same.

22 We should be able to say yeah, we think
23 this works, and we should implement it. So,
24 thinking about those is not -- it's related, but
25 not perturb the decision about evidence based on
26 feasibility and implementation is what I'm
27 trying to advocate for. And we don't have to do
28 it by the way, but I just would advocate it.

1 Melissa?

2 DR. PARISI: Melissa Parisi, NIH. So, I
3 have three points like I always do, but
4 fortunately the first point was just made by my
5 colleague, so you get spared one of my points.
6 But just to reiterate that I think feasibility
7 and implementation need to be separated, and the
8 feasibility of the assay needs to be considered
9 because if someone comes up with a brilliant
10 assay, but it involves a substrate like urine or
11 saliva, that's just not doable by the state
12 program, so just a reminder to keep that
13 separate.

14 I think my most important point and
15 feedback from all of this discussion is really
16 an agreement with you that the key works here in
17 this revised matrix proposal are certainty, and
18 net benefit. And I think that those are
19 intrinsically subjective terms at a certain
20 level, and I think this is where the crux of the
21 matter really lies.

22 And I do have some concerns about using
23 this graded system when we may not agree on what
24 a benefit really is. And I'm thinking
25 specifically around the space of whether being
26 alive versus dead is considered a net benefit.
27 Being alive with some disabilities is considered
28 a net benefit maybe to maybe being alive but

1 having full functional capacity.

2 And I worry a little bit about ableism
3 potentially creeping into these discussions as
4 well. And, I'll be the first to admit that I'm
5 still learning about this concept, and I think
6 that this is a point that we all need to educate
7 ourselves on, and for those of you who may not
8 be as familiar with this term, it's really the
9 assumption that people with disabilities are
10 less valued in society, that they don't have as
11 much offer and that there's this subtle
12 prejudice that enters thinking, myself included,
13 around being able, versus being disabled.

14 And as a side point if you're very
15 interested in learning more about this the NIH
16 hosted a two-day workshop webinar, Ableism and
17 Medicine in Clinical Research on April 27 and
18 28th, just like a week and a half ago, or two
19 weeks ago. Actually, that was just a week ago.
20 We're already in May, oh my goodness.

21 So, last week, and the full recordings
22 are available on the NIH videocast website, so
23 if you want to learn about this topic, it was
24 incredibly eye opening for me, and I feel like I
25 need to go back and listen to it again, but go
26 to videocast.nih.gov if you're interested.

27 So I do think we need to be having more
28 discussions around this topic of what benefit

1 really means for newborn screening conditions,
2 and I would suggest that in convening an ad hoc
3 committee of public members, and laboratory
4 representatives, and advocacy groups to discuss
5 these proposed revisions to the matrix, that we
6 don't just focus on feasibility and
7 implementation, but that we also talk about this
8 really critical component of what net benefit
9 means. So, that's my second point.

10 My third point is I also worry a little
11 bit about the C versus I grades, and this is
12 kind of based on my own experience, and I'm not
13 an expert in USPSTF formulation and process, and
14 I really believe that it's an important system
15 for evidence review.

16 But I've also seen that it hasn't
17 served pediatric disability conditions terribly
18 well. And for example, the screening for autism,
19 which most pediatricians would advocate for by
20 the age of two years, is still languishing as an
21 I grade, insufficient evidence. And I think
22 that's really tragic because I think most
23 individuals would agree how important it is to
24 really be screening for this condition.

25 But because there has not been adequate
26 evidence as predicated by the process, and
27 trying to do a randomized control trial would be
28 unethical. We're really not able to get out of

1 that categorization.

2 So I worry that a C grade might not
3 mean that there would be any discussion of a
4 condition for newborn screening if we apply this
5 gradation process, and I hope that there would
6 be at least allowance for discussion of such a
7 nomination, particularly since our experience in
8 our last meeting in February there was actually
9 discussion, and a change from what the
10 recommended grade of C to a B, and I think
11 there's always value in having discussion of
12 this Committee, and I also worry that an I grade
13 could mean kind of a kiss of death, and that it
14 might not necessarily result in meaningful
15 ability to progress up the gradation, so that's
16 my third point, and thank you very much.

17 DR. CALONGE: Thanks Melissa. I think
18 you know being someone who worked with the
19 USPSTF and the CPS for a long period of time,
20 things do move out of the I actually quite
21 frequently, and I've seen D's become B's over
22 time, so as evidence fills in, things change.

23 There are a lot of ways to make
24 decisions, and I think I often say this. Only
25 one of them is evidence-based approach, and
26 that's what the Committee is charged with today,
27 is to use evidence-based approaches to decision
28 making in whether to add conditions to the RUSP.

1 How we structure that evidence-based
2 decision is up to the Committee, so that's
3 within our purview, and I think that's the thing
4 I proposed was trying to do two things, separate
5 out the readiness and feasibility, not that
6 that's important. And then to move towards
7 simplification. It doesn't mean that there's not
8 additional simplification, or other approaches,
9 and that's why I think taking on the issue with
10 an ad hoc committee that is inclusive, could
11 help us move forward and make our discussions
12 richer and again, hopefully a little bit more
13 consistent.

14 I don't expect because of the expertise
15 and differences and experience of people around
16 the table that this will translate to votes that
17 aren't split because I think we do bring
18 different judgments, experiences, and values to
19 the table, and that's why we have a big
20 Committee.

21 And I think trying to provide structure
22 that the interest groups, the public
23 understands, and the Secretary of Health can
24 have faith in about the discussion around the
25 evidence of we believe that implementing this is
26 worth it because it's going to provide more
27 benefit than harm is important.

28 And then to your last point, deciding

1 how those are. I mean we had sessions on it
2 yesterday. I think one of the things that USPSTF
3 when I was there continue to struggle with, is
4 that the currency of benefits and harms is often
5 different. And so, how do you balance a life
6 saved, which is a pretty dramatic benefit that
7 accrues to very few, to a harm that less, but
8 accrues to a whole lot of people.

9 And that's a -- I know it sounds easy,
10 maybe it sounds easy, but I think that's an
11 issue where people start thinking about voting
12 differently. And wrestling with that and doing
13 it in discussions is important. Jennifer?

14 DR. KWON: I mean I agree with Melissa
15 that the B category is going to, I mean that's
16 the category where we're going to have a lot of
17 differences in opinion. And I think it's in
18 looking at those sort of relatively manageable
19 harms, but that affect a large number of people,
20 one of the ways that we justify it is by looking
21 at the outcomes.

22 Is it survival? Is it survival with
23 near normal abilities? Is it -- I mean we treat,
24 if we didn't treat PKU they would live a long
25 time, and they would be pretty disabled, but we
26 treat it, and we hope that their abilities would
27 allow them to be independent, and you know, have
28 just more independent and abilities.

1 So, I think it's those outcomes that
2 kind of help us jump over the unknowns of the
3 harms, and it's also those outcomes that drive
4 clinicians to be more involved. I think what's
5 happened in the world of SMA, and I remember
6 that part of the issue with SMA is the evidence
7 wasn't great. You know, we were very optimistic,
8 and I feel like the optimism has paid off, but
9 it may not have paid off, and so that's where a
10 lot of the discussion there was. And I thought
11 that was fair. But I think now we have a disease
12 where honestly, I don't, I mean I haven't had a
13 family refuse treatment, but we would do a lot
14 of things to prevent them from refusing
15 treatment, because you know, because it would so
16 irreversibly change the outcome of this child's
17 life. It just doesn't seem right.

18 Whereas there are disorders where we do
19 let families make those choices because the
20 outcomes are challenging. And those are the
21 situations where it would -- that's where it
22 would be helpful to have the harm, but yeah, I
23 think B is going to always be a tough one.

24 DR. CALONGE: Marc?

25 DR. WILLIAMS: Thank you. A couple of
26 observations. Most of yesterday mentioned
27 ClinGen, and I wanted to talk a little bit about
28 the actionability work, and the way we approach

1 this as a possibility to deal with some of the
2 courses that come up in discussion.

3 The first thing that we do we consider
4 a condition that has an established validity, is
5 to say and if we were to identify it early, what
6 would be the particular outcomes of interest,
7 and what would be the interventions that would
8 be needed to achieve those outcomes?

9 And so those are predefined. And I
10 think listening to, and reflecting on the
11 comments from yesterday, this would be a really
12 great place to engage with our advocacy groups
13 or patients and our clinicians to sort of co-
14 define what do we consider to be the important
15 outcomes, and what do we think the interventions
16 are that should be evaluated?

17 We then, as we would move forward, look
18 across categories, much like you do in the
19 matrix, for things like how frequently does this
20 occur? What's the severity of the disease? Is it
21 death? Is it long-term disability, et cetera?
22 What's the nature of the intervention?

23 How onerous is it to do the
24 intervention? And you know, those are all E's,
25 but you would define those differently for the
26 task for the Committee. And then evidence is
27 developed for each of those, and presented for
28 each of those separately, rather than combined,

1 and there's an evidence grading that goes along
2 with it.

3 So, we look at the prevalence and say
4 well is this a registry? Is this an independent
5 population assessment? And you can grade that
6 evidence. Do you have evidence grading directly
7 relating to each of the questions that you're
8 specifically asking that helps to focus on the
9 individual component? And we look, and we
10 generally come to reasonable consensus, with
11 some differences, but we're not trying to eat
12 the whole elephant at the same time.

13 Of course, we don't come up with a
14 recommendation. That's not the whole of the
15 action of the working group. We come up with
16 transparency. But one of the things that we
17 recognize is that we reducing the formula that
18 sounds like it's going to in year two, is that
19 we have these cognitive differences with what
20 the torch levels and what we really think.

21 And so, introduce the second concept
22 proposing that, you know, our assertion with
23 maybe the certainty metrics that you are looking
24 at Ned, which is to say do we agree with what
25 those four are telling us? And if we don't
26 agree, why? What are the issues that we are, you
27 know, that are causing this disagreement?

28 And that's been very useful in certain

1 circumstances to really paint a -- move away
2 from I just don't feel this is right, and we can
3 articulate it a little bit better. And so, I
4 think perhaps, some adjustment to, but use of
5 that type of a framework as we do these
6 considerations it would be useful, and it might
7 be useful to have somebody from the actual
8 working group walk through the tenures that
9 we've been developing that.

10 So, the second point will be much
11 shorter because it's been stated before. I agree
12 with people that are saying the feasibility
13 implementation at the state level needs to be
14 set with a process. I haven't gotten into the
15 charter in great detail, but I have a
16 fundamental question as to whether it is the
17 remit of this Committee to actually do these
18 state-by-state assessment of feasibility.

19 Because I'm not sure that it is. And I
20 understand it's difficult to separate out, and
21 it's all going to say yeah, this is great, we
22 should do it, and then it's impossible to do at
23 the state level. But it does seem, and I would
24 endorse the suggestions that several have made
25 that that has to be a separate process.

26 And it might be a separate process by a
27 different entity that develops a feasibility
28 assessment that goes in parallel with the

1 Committee's recommendation in the second.

2 DR. CALONGE: Thanks Marc. I think it's
3 been a rich discussion, very useful. I think
4 what I would propose, so let me try to summarize
5 a few points. So, the first one is that I don't
6 think we're pleased with the current matrix. So,
7 I think we would like to move away from that.
8 So, that's one point that I think I feel we've
9 made.

10 The second point is that feasibility is
11 an important assessment that needs to occur if
12 implementation of conditions that we vote to add
13 to the RUSP are going to actually be
14 implemented. So, I think that's an important
15 point. The readiness issue, while important, I
16 felt less enthusiasm, or I'm sorry, less
17 passionate around discussion.

18 And the third point is an
19 acknowledgement that the matrix approach, the
20 grading approach we may want to not constrain
21 ourselves to the thought that we'll have a
22 matrix, right? That we might want to have a
23 decision approach that does or does not involve
24 grading, but that does work hard on trying to
25 identify and with as high a certainty as
26 possible, determine the certainty of benefit,
27 the certainty of harms, and a feeling around the
28 certainty of net benefit.

1 And that that would translate to a
2 discussion and a vote of adding a condition, or
3 not adding a condition. So, that the decision
4 point isn't what grade are you, but based on
5 those important factors, what does the Committee
6 vote to do?

7 So, we may end up with a grading
8 system, but as I've listened to people talk I
9 think this issue about feeling constrained by
10 assigning a grade is something we may or may not
11 want to continue, and I think we'd be more open
12 to other approaches, other decision approaches
13 if I hadn't done what I did, which is just
14 present you with a different matrix, and say
15 let's do this.

16 So, I want to make sure that as we put
17 this, I think we want to change. I think any
18 change should be with a process that's inclusive
19 of the public's interests and experts, and the
20 Committee, and that will kind of move forward in
21 that realm. Scott, I did see your card up and
22 wondered if you're okay.

23 So, is that a reasonable summary of
24 where we are? And would it be okay to move
25 forward and try and do assemble a group, an ad
26 hoc workgroup to discuss decision making for the
27 Advisory Committee on voting to add conditions
28 to the RUSP? Okay. I think Michael and Jeff,

1 Leticia, I think we'll move forward.

2 In thinking about the group, I think
3 knowing that people are busy, I think having a
4 group that can make every meeting, or almost
5 every meeting will be important because
6 otherwise you end up re-adjudicating the same
7 points over and over again.

8 But we'll get started, and we'll figure
9 out ways to schedule that group, and figure out
10 how to recruit members because I think there
11 will be a lot of interest from both the
12 Committee and the public, so. Great.

13 I do want to take an opportunity before
14 we break for lunch to just ask Carla if she
15 might have a comment about the recent shooting
16 that involved CDC.

17 DR. CUTHBERT: Thank you. Thank you for
18 this opportunity to acknowledge what's happened
19 recently in Atlanta. You may have heard that
20 earlier this week there was a shooting at a
21 medical facility in midtown Atlanta, and the
22 victim in this instance was a CDC employee,
23 called Amy St. Pierre.

24 And while I did not know her
25 personally, Amy was a very valued member of the
26 CDC family, and she worked in the division of
27 reproductive health in a building just opposite
28 our own branch buildings in our quadrangle.

1 In a statement put out by Amy's family,
2 Amy was a loving wife, mother of two, she was an
3 Emory honors graduate, had a Georgia State MBA,
4 and traveled the world with curiosity and
5 courage. She was driven by compassion both in
6 her work in the field of maternal mortality, and
7 in her everyday life.

8 Amy was selfless always. She wanted
9 more for others, but never for herself. A
10 generous supporter of worthy causes. She was a
11 social conscience of her own family. As a very
12 valued member of our CDC family, we feel a
13 tremendous loss over her death. To Amy's family,
14 and for the others who were injured during this
15 shooting, and their own families, are hearts are
16 with them, and we wish them strength and comfort
17 during this time of immense grief. Thank you.

18 DR. CALONGE: Thanks Carla. Leticia?

19 MS. MANNING: And now we're going to
20 shift to our lunch break. We will reconvene at
21 12:45, and I'll see you then. I'm sorry, 12:15.
22 My apologies.

23 DR. CALONGE: Yeah, you don't get that
24 long.

25 MS. MANNING: No. 12:15.

26 (Lunch break)

27 **Ad-Hoc Topic Group Ideas**

1 DR. CALONGE: Let's all find our ways
2 back if we could finish up with, I think some
3 interesting topics, and what we want to do is
4 talk about the move from standing workgroups to
5 ad hoc topic groups, and we want to get started
6 on that process. We talked about one already in
7 terms of decision making for the Committee,
8 about recommendations to add conditions to the
9 RUSP.

10 We have a couple we want to talk about
11 specifically, sorry including one on conflicts
12 of interest, but we also know that from the new
13 business if we have time to get to a discussion
14 around secondary conditions, screening outside
15 of the newborn period, and counting conditions.

16 And so, what I think I'd like to do is
17 start with I don't know, where am I going to
18 start Leticia? COI. Okay. Yeah. I'm ready to do
19 that. Next slide please. If you can advance for
20 me that would be so great, or I'll try it again.
21 Oh, it's different okay. So, we do do some
22 conflict of interest, but we have two
23 assessments.

24 One is this truly wonderful form that
25 everyone in the room should get to experience at
26 some point. The OGE Form 450 Financial
27 Disclosure Report, which is reviewed by HRSA
28 staff, and discussed specifically with the

1 person if there are issues of concern or
2 questions.

3 The one good thing I will tell you
4 about the form is that when I first started
5 filling it out for the Committee, it was the PDF
6 that you could not enter data into. And so, when
7 you had to repeat it every year, you had to
8 start from new every year, so that's gotten
9 better at least, but anyway.

10 So, then the second point is the
11 decision by a specific Committee member based on
12 their own assessment of any potential conflicts,
13 for a specific topic vote. And currently there's
14 no assessment of potential conflicts for
15 organizational representatives. So, these are
16 issues that I want to have, be able to discuss.

17 I feel when I think about the other
18 groups I work with, community guide, the USPSTF,
19 which I've done in the past, and the National
20 Academy of Science Engineering and Medicine, all
21 have moved to a much more formal and detailed
22 conflict of interest approach.

23 And so, I would like to present one, at
24 least for us to think about moving forward with,
25 and hopefully put an ad hoc group together for.
26 Next slide please. Next slide please. So, I'm
27 going to rely on the group that I've worked the
28 most with, the most recently, I rotated off the

1 community improvement of services Task Force at
2 CDC at the end of last year.

3 And we had implemented this project, I
4 think around the second year of my
5 Chairpersonship, where based on working with
6 other federal agencies, and with the USPSTF
7 staff, came to disclosure forms that talked
8 about three different kinds of potential
9 conflicts.

10 The easiest one is always the financial
11 conflict of interest, and this is what the OG
12 450 is supposed to do. Do I have stocks or bonds
13 where a decision made by the Committee might
14 benefit me financially? But financial COI can be
15 expanded to different areas, and the level at
16 which you have to disclose is an important
17 issue.

18 The second area was business and
19 professional conflicts, and then the last was
20 potential intellectual conflicts. The way it
21 works at the CPSTF is that the DBC staff, which
22 includes the Office Director, legal advisor, and
23 the Task Force Chair and Vice Chair, review the
24 statements prior to every meeting, and decide
25 whether or not the members should have some sort
26 of restricted participation.

27 The restrictions are not all total
28 recusals, so they can include no restrictions,

1 and no public disclosure, no restrictions but
2 public disclosure. That would mean in the
3 meeting we consciously talk about those
4 potential conflicts. Participation in
5 discussion, but restriction from voting, or
6 recusal and restriction from all parts of the
7 topic presentation and discussion, so those are
8 pretty uniform levels across different groups.

9 I think it's really important, this
10 last point, maybe I should have bolded it, that
11 disclosure and actions are separate processes,
12 so I think one of the most important things in
13 COI to do is to disclose, and if it could create
14 an appearance of a potential conflict, make sure
15 that it's transparent to the public, and to the
16 groups that we're serving.

17 However, a disclosure may also not lead
18 to any restriction, and may not be publicly
19 shared if in the decision-making process of the
20 staff and the Chairs, that information is not
21 something that is required to be shared
22 publicly. Next slide please.

23 If I'm talking about financial
24 interests, investments, or entities that could
25 influence, or give the appearance of influencing
26 the outcome of a decision, those entities could
27 be individuals, organizations, or corporations,
28 or other groups with established or future

1 business in the matter of a decision.

2 A relevant financial interest is a
3 situation where a Committee member has the
4 potential for direct or indirect financial gain
5 or loss related to a recommendation vote, and so
6 members need to disclose their own financial
7 relationships, those of their spouse or close
8 personal relation, and their dependent children.

9 And the cut off that was set by the
10 USPSTF and adopted by CPSTF was \$1,000.00 or
11 greater in the previous 12-month period. And I
12 will tell you I feel that's a pretty low level,
13 and I think it assures that you err on the side
14 of disclosure, rather than not disclosing. Next
15 slide please.

16 This is a long list of potential
17 interests, but they include stocks, employment,
18 patents, royalties, licensing fees, a research
19 grant not from the fed, so we excluded those,
20 but our search grant from a corporation or
21 proprietary business, compensation for being on
22 a governing board, or advisory council of a
23 private business, although we included non-
24 profits, if they're paid.

25 I'm sorry, I've got to get back to that
26 paid, participating in a speaker's bureau for a
27 proprietary business entity, honorary travel or
28 gifts from a private business, payment as an

1 expert witness, and receiving compensation for
2 services be parties having a financial interest
3 of the outcome of a decision.

4 So that's a long list. It's meant to be
5 comprehensive, and it's to try to make sure we
6 raise up any financial issues. Next slide
7 please. Now business and professional interests
8 are different. So, now we've moved away from the
9 area where everyone seems to agree, and where
10 we're trying to move into areas that we think
11 are important for disclosure, and potential
12 action in a decision making that have kind of
13 federal and public potential impacts.

14 So, a business or a professional
15 conflict is a bit relationship or activities
16 that aren't disclosed as financial but could
17 influence or give the appearance of influencing
18 a decision. It's a situation where the member
19 has the potential for business, or professional
20 gain or loss, related to the finding, the
21 outcome of the decision could positively, or
22 negatively affect that organization's ability to
23 receive funding.

24 So, you need to disclose your own
25 business or professional relationships, those of
26 your spouse, and dependent children. Next slide.
27 So, here are examples, public comment and
28 testimony, like an expert witness made on behalf

1 of a business, or a professional organization,
2 leadership roles, panel associations, society
3 journal or certification body, advocacy or
4 policy positions on behalf of an entity, so I've
5 made an advocacy statement, or have a position
6 on behalf of an entity.

7 Relationships with government
8 organizations, non-government organizations,
9 private organizations, professional societies,
10 or other organizations that you have a reason to
11 believe may benefit or be harmed by the
12 findings, and these could include being a board
13 member, a director, expert adviser, a leadership
14 position, officer, owner, or principal
15 investigator.

16 So, again these are disclosure
17 requirements that may or may not lead to public
18 disclosure, or restriction of participation.
19 Next slide. The last category is potential
20 intellectual interests. These are potential
21 interests, likely to be numerous, because we're
22 actually selected because of our expertise, and
23 so we have intellectual interests.

24 The work may be sufficiently well-known
25 that different audiences might question the
26 objectivity of the process. If members are known
27 to have taken leadership roles in discussion,
28 then sometimes even votes or recommendations

1 regarding that topic. A member may hold strong,
2 personal views on the effectiveness of
3 particular interventions, and may be unwilling
4 to accept evidence to the contrary.

5 That's a very important phrase, and
6 this is the way, something the National Academy
7 gets at by actually asking the question if we
8 found evidence that actually was contrary to
9 your stated position, or your intellectual
10 belief, would you be able to vote opposite of
11 your intellectual interests, and that question
12 needs to be asked.

13 Same holds true for strong moral
14 convictions that can influence a member's
15 scientific opinions. Potential intellectual
16 conflicts of interest could be indicated by
17 membership and lobbying, or advocacy
18 organizations, again serving as an expert
19 witness.

20 Public comments, or other indication of
21 strongly held beliefs, or intellectual property
22 rights, including books, journals, manuscripts,
23 patents, and copyrights. Next slide. So, the
24 organizational representatives do not vote, and
25 as such do not need to be held to the same
26 standard as I think voting members need to be
27 held for.

28 On the other hand, I do believe that

1 why they should not have any COI-related
2 restrictions, they do have special status, and
3 access to participation and Committee
4 discussions, as meeting times allows, and at the
5 discretion of the Chair.

6 And so, the proposal to consider as we
7 move forward is whether board reps should
8 annually disclose whether they receive any
9 fundings from a proprietary private business
10 entity, include that in that disclosure, how
11 much and what percentage of their operating
12 budget that's relevant such funding represents.

13 And that information should be
14 available at least to the Committee members.
15 This is completely new, and additional, but not
16 with the idea of restricting participation, but
17 disclosing potential, financial interests and
18 conflicts. Next slide. So, this is complex, and
19 sensitive, and the proposal is to consider
20 creating an ad hoc topic work group to create a
21 proposal to present to the Committee for
22 consideration and adoption.

23 And I will stop and see if there are
24 questions or comments.

25 DR. WILLIAMS: I'm online Ned.

26 DR. CALONGE: Thanks Marc. Let me start
27 with you then.

28 DR. WILLIAMS: All right. I think this

1 is excellent. I've been as part of the
2 Presidential, or elected President role of the
3 college we have a conflict-of-interest
4 committee, and so I spent a lot of time with
5 this. And I would make three recommendations in
6 addition to yours, which I, by the way, agree
7 with.

8 The first is that we specifically for
9 the college include federal grants and contracts
10 as part of the disclosure. And the reason for
11 that is that these can represent significant
12 conflicts for some businesses, and in
13 particular, if you think about grants and
14 contracts for example, that I'm on, like NBSTRN
15 that directly relates to work that the Advisory
16 Committee does.

17 And I think that case could be made for
18 Propel, Excel and others. And I think it's also
19 important to disclose for specific topic areas
20 if you have funded research, whether its federal
21 or industry related, it's specifically
22 conditioned, I think that needs to be disclosed,
23 so that's recommendation one.

24 Recommendation two is I completely
25 agree with the disclosure for organizational
26 representatives. I think that that's very
27 important for many of the same reasons that I
28 just articulated. The third recommendation I

1 would make, and this maybe is presuming a
2 discussion is going to happen in the ad hoc
3 discussion that's upcoming, but I think there
4 should be a separate disclosure for
5 participation at any of the ad hoc working
6 groups.

7 That's a practice that we do at the
8 college for all of our evidence-based
9 guidelines, working groups, committees, et
10 cetera, because there may be specific conflicts
11 that are not relevant to the committee as a
12 whole, but would be relevant for as ad hoc
13 working group.

14 Now, you've talked about how onerous
15 this is, and there's no question that it is. I
16 fill out about one a month, but if the
17 information could be persisted such that all one
18 would need to do is to go in and make edits,
19 when that was asked for that would be, it
20 reduces the work considerable. And I think this
21 is the most rigorous way to ensure that we're
22 doing our business transparently.

23 DR. CALONGE: Thanks Marc, and I agree
24 the issue about trying to make it as easy as
25 possible as we were talking. There were things,
26 the CPSTF approach, and the USPSTF approach I
27 left out on the slides, which is that you do the
28 COI assessment prior to a meeting based on the

1 topics that are going to arise in the meeting.

2 And so, it is the topic specific
3 assessment and action taking. The second thing
4 you reminded me is something we didn't get to
5 the slide sets, but I appreciate Don, in your
6 presentation, including a slide for all
7 presenters about their own assessment of any
8 conflicts of interest when they present in front
9 of the Committee. Shawn?

10 DR. MCCANDLESS: Thanks. I think this
11 is a good idea, and I think I just wanted to ask
12 if the intention is also to include the evidence
13 review group, and the expert panels that assist
14 the evidence review group?

15 DR. CALONGE: So, I think that's
16 another expansion of the process that I think
17 should be under the consideration of a topic
18 work group. I would like to at least get it done
19 for these discussions and think about how to
20 most appropriately. And again, you know, it's
21 funny as you get involved in this work because
22 there's always a worry that we're saying if you
23 have a financial, or an interest, you're not a
24 good person.

25 And I don't know how to get around that
26 except we're people, and that is a completely
27 different discussion. It's like yes, I have
28 these interests, that might be a conflict, and I

1 wanted to declare them. So, as we think about
2 experts especially in evidence review, and other
3 settings, it's not saying that it's not okay to
4 have conflicts, including financial conflicts.

5 It's that people should know about them
6 when you're in a decision making or advice
7 providing mode. Okay. Susan?

8 DR. TANKSLEY: Thank you. Susan
9 Tanksley, Association of Public Health
10 Laboratories. So, as organizational
11 representatives, would you also want disclosure
12 of any grants, or things like that that the
13 organization itself has? Like is that - these
14 are all personal, or would there be any need for
15 any expansion into the actual organizations?
16 Thank you.

17 DR. CALONGE: Yeah. I think that's a
18 great discussion again for the ad hoc group of
19 where conflicts might come. I was really
20 specifically thinking about the issue about
21 corporate funding as the most important
22 assessment. And not at the personal level for
23 the org rep, but at the organizational level.
24 Does that make sense? Yeah okay. All right.
25 Sorry Jane.

26 DR. DELUCA: Jane DeLuca, Committee
27 Member. We do this a lot, right, in our
28 professional lives. We disclose lots of

1 different types of information, but I've
2 actually never seen anyone pulled from anything
3 because of a conflict, so I wanted to know what
4 is sort of the watchdog process that goes into
5 that? You know, and then what happens to someone
6 if there is a conflict that they don't see it as
7 a conflict, but an organization may?

8 So, I'm just wanting to hear a little
9 bit more about that in terms of maybe your
10 experience, or other people's experience.

11 DR. CALONGE: Yeah. So, well I can tell
12 you about the way the CPSTF works because I was
13 most involved with that. So, the forms were sent
14 out, and had to come back by a specific date.
15 They were then reviewed by the agency, including
16 their legal advisor, and then in this regard it
17 would be someone at like Michael's level, and
18 then someone at Leticia and Jeff's level as
19 well.

20 And they made separate conclusions
21 about what actions might take place. And then
22 they brought the Chairs in, and we had the Vice
23 Chair of USPSTF, and we went through the same
24 discussion, and then came up with a final
25 determination. There were times when a person
26 was asked to recuse. And recusal was leave the
27 room. In this setting it could be that the
28 conflict is not raises to the level of recusal,

1 but it might limit the ability to serve on a
2 topic work group or a nomination group because
3 of the potential conflict of interest, or the
4 appearance of the conflict. And that would not
5 necessarily restrict discussion and vote.

6 So, all levels kind of have occurred in
7 my experience.

8
9 DR. DELUCA: And just to follow that
10 up, you know, I think there's a very nice packet
11 of education materials that go along with our
12 yearly, and are there plans to actually look at
13 that? Have Committee members look at that,
14 update, et cetera.

15 DR. CALONGE: I think that's a really
16 good point, and I think it's something we can
17 definitely do. Ash?

18 DR. LAL: I have a comment about the
19 intellectual conflict of interest. And I think
20 the Committee is not assembled to bring in
21 subject expertise for different conditions if
22 that's my part. Relying on an expert testimony
23 when the condition has been discussion for
24 inclusion, but I wonder if it could be that
25 there's some sort of having one expert it could
26 be a panel of experts that could be invited,
27 based on the testament of the evidence review
28 group.

1 Based on your evidencing field, and not
2 one person, but maybe a panel of three people.
3 And then the Committee just accepts the
4 recommendation made by that expert panel.
5 Because that could avoid any, you know,
6 potential for an intellectual conflict.

7 DR. CALONGE: So, could I, I'm sorry,
8 so just so I understand. Are you talking about
9 the decision process, or the decision around
10 whether there's a conflict?

11 DR. LAL: So, no, it's the appearance
12 of a conflict for when a subject is being
13 reviewed, and we would you know, whether we
14 judge as experts for something the evidence is
15 there for a certain thing, and we hear from
16 experts from outside, which are brought in by
17 the advocacy groups, so during the nomination
18 package.

19 But I was wondering if that process
20 would be such that the experts could be invited
21 by the Committee, chosen based on the national
22 reputation.

23 DR. CALONGE: Okay.

24 DR. LAL: And then we just accept the
25 recommendation as the expert recommendation.

26 DR. CALONGE: So again, and I'm not
27 trying to be dense. When you say -- sorry? When
28 you say adopt the recommendation, what

1 recommendation?

2 DR. LAL: That the evidence for moving
3 conditions forward.

4 DR. CALONGE: So that would be yielding
5 the responsibility for decision making from the
6 Committee to experts?

7 DR. LAL: Well, that's not the fact
8 recommendation, I mean it's one part of it.

9 DR. CALONGE: Okay.

10 DR. LAL: The subject matter expert's
11 recommendation is that this is yes, this should
12 move forward, or whether this should not move
13 forward, and the Committee would accept that
14 part of the recommendation.

15 DR. CALONGE: Okay. So, again so, very
16 important, so now I understand. So, I think
17 you're actually talking about the decision-
18 making process and are there better ways to
19 include subject matter expertise than occurs
20 through one, the evidence review group and
21 report, and then the assessment and presentation
22 by Committee members in the decision-making
23 process. Is that fair? Got it. Thank you. All
24 right.

25 DR. WILLIAMS: I'm sorry. If I could
26 add on to that. That specifically is addressed
27 by the Institute of Medicine, now National
28 Academy of Medicine's guidelines. You can trust

1 the use of experts and addressing conflicts of
2 experts in evidence-based assessment, and so
3 that would be something I would also refer to
4 this working group for consideration and
5 consent. I think the inclusion of experts in the
6 evidence process is critically important.

7 DR. CALONGE: Right. And I just want to
8 point out that they are included in the process,
9 and what Dr. Lal was suggesting was that they
10 have a different role in the actual presentation
11 of evidence to the Committee. So, Marc, there
12 are subject matter experts that sit on the ERG
13 for a specific topic to bring that level of
14 expertise to the table.

15 And I think Ash is talking about how
16 that gets translated to the Committee for
17 consideration and discussion. I'm sorry Melissa?
18 When your card turns sideways, I can't see it.

19 DR. PARISI: It's the wrong angle,
20 right? Yeah. Just a quick comment, and I think
21 this was just raised a moment ago but I think
22 for intellectual conflicts of interest where
23 it's a little more subjective, it's I think
24 making sure that the person is still present and
25 able to provide feedback is really important,
26 and to answer questions because they have the
27 expertise and the knowledge that could actually
28 be very beneficial in some of the deliberations.

1 DR. CALONGE: Absolutely. And that gets
2 to the point where disclosure and action are
3 different, and you have to have the action match
4 the level of potential conflict, and you're all
5 at the table because of your specific expertise,
6 and we wouldn't want to lose that.

7 So, we'll move ahead, it seemed kind of
8 -- I'm sorry Jeff.

9 DR. BROSCO: Just one last comment.
10 It's Jeff Brosco. Is that this Committee makes
11 such important decisions, and there's so much
12 scrutiny of the work that we do, that it seems
13 that one of the ways to build our legitimacy is
14 to say everything is open. We're going to let
15 you know what it is.

16 And so, whether it comes out as a
17 specific process from the ad hoc topic group,
18 part of the idea is to build the legitimacy of
19 our decision-making process and transparency.

20 DR. CALONGE: So, I am going to move
21 ahead with trying to recruit interested people
22 and serving on a COI Committee. We'll start with
23 kind of place that I'm trying to present today,
24 and expand it based on the questions and
25 discussion that we've had.

26 I wonder if we have the lists developed
27 by the work groups at our last meeting to put up
28 on a slide. Thank you. So, in the spirit of

1 transparency, I've discussed this issues with
2 staff at HRSA to think about where could we get
3 started, and given that we just now created two
4 work groups, and I thought maybe we could create
5 two more, and work through the -- and the ones
6 that we thought about adding.

7 But this is open to discussion from the
8 Committee- is an ad hoc work group on the
9 blueprint for follow-up and treatment of RUSP
10 conditions, and then laboratory best practices
11 for utilization of second tier testing. So,
12 those were two specific comments that were
13 lifted up, even though the planned tool for
14 implementation is listed first, I think at the
15 last meeting, at least my notes said that the
16 highest priority was second tier testing.

17 And so, not losing the other elements
18 on the list, I wanted to ask whether or not the
19 Committee felt these would be a couple of
20 working groups we could get started with, that
21 would contribute to the knowledge work of the
22 Committee, and I'll pause and let people think
23 about that. Jeff?

24 DR. BROSCO: How do I get a chance to
25 try to put that up, and get all three to
26 balance? Jeff Brosco, I can make things easy for
27 the follow-up and treatment as we tried to
28 present yesterday, my colleagues from CDC. We as

1 federal partners have a sort of plan for moving
2 forward that will includes folks, so my sense is
3 that we probably don't need a separate ad hoc
4 Task Force for that, that we can involve folks,
5 and we have a way forward to try to implement
6 that.

7 DR. CALONGE: Well, that makes it easy,
8 so we don't need to do that one. How about
9 second tier testing? Hi Kellie.

10 DR. KELM: Kellie Kelm, Committee
11 member. Yeah, I mean I think the top two items,
12 I mean I don't want to volunteer people because
13 I'm rolling off. But I mean, I definitely think
14 that there was a lot of discussion around this
15 space, and it would probably be a valued product
16 that people would like.

17 Because I also know there were some
18 similar types of things, I think being worked on
19 for the first thing that labs had available for
20 the first bullet, so.

21 DR. CALONGE: Okay. Yes, Carla?

22 DR. CUTHBERT: I would agree with
23 Kellie. Second tier testing is a very good
24 approach to a good project to discuss for our
25 groups.

26 DR. CALONGE: Melissa?

27 DR. PARISI: So, I have a - Melissa
28 Parisi, NIH, I have a question for my laboratory

1 experts, and I'm reflecting on yesterday's
2 comments from Susan Tanksley about counting
3 conditions, and whether sorry -- whether that's
4 an activity that should be taken up by a
5 specific work group, or that can be addressed by
6 other means, but it seems like that's also a
7 high priority, and something that maybe should
8 be addressed, and I don't know whether the
9 laboratory standards and procedures would be the
10 right group to consider that, but that seems
11 really important.

12 And I'm wondering if other people think
13 that that's also important.

14 DR. CALONGE: Well, I know that Susan
15 is planning to bring this up in new business,
16 and I guess I might look at her and say do you
17 think the same group could look at both issues?

18 DR. TANKSLEY: So, Susan Tanksley,
19 Association of Public Health Laboratories. I
20 think that this topic would benefit from a cross
21 section of experts, not just laboratory for
22 certain and really needing the input of experts
23 in the other areas.

24 DR. CALONGE: Shawn?

25 DR. MCCANDLESS: Thank you. I just want
26 to follow up with what Susan said. That to the
27 extent -- sorry, Shawn McCandless. Just so I'm
28 clear.

1 DR. CALONGE: There you go. Use
2 Janine's because the battery must be weak.

3 DR. MCCANDLESS: Thank you. Shawn
4 McCandless, member. Just so I'm clear, the work
5 groups are no longer going to be active,
6 correct?

7 DR. CALONGE: That's correct.

8 DR. MCCANDLESS: For creating ad hoc
9 groups to address topics, so I think that would
10 address Susan's important point, the discussion
11 around the counting should include a variety of
12 different groups input. I would suggest that the
13 secondary targets issue also be added to that ad
14 hoc committee's work group, so secondary
15 targets, counting conditions, and then what was
16 the suggestion that that also be the same group
17 that works on second tier testing utilization,
18 or is that a separate group?

19 DR. CALONGE: I'm sorry. I thought you
20 just said that they would be together, so I'm
21 missing the --

22 DR. MCCANDLESS: I'm just not clear. To
23 me it seems like best practices for utilization
24 of second tier testing should be a second group.

25 DR. CALONGE: Right. Got it.

26 DR. MCCANDLESS: And an accounting, and
27 the counting and secondary targets group.

28 DR. CALONGE: Right. And then what I

1 heard was that the secondary counting group
2 needs to be cross sectional, cross expertise,
3 whereas the best practices could be a laboratory
4 led group.

5 DR. MCCANDLESS: Could be, but I think
6 it would also benefit from --

7 DR. CALONGE: Oh, they always will
8 yeah. All right. So, I'm sorry, Michele?

9 DR. CAGGANA: I'm Michele Caggana,
10 member, which I always forget to say. I agree
11 with Susan. I think APHL has made great strides
12 for how many years this group? Two? I've been
13 working on, and they've actually made quite a
14 bit of progress in developing the framework, and
15 so I think we need sort of two vet this through
16 the entire Committee, rather than the group.
17 Thanks.

18 DR. CALONGE: Great. That will be a
19 successful ad hoc working group that will meet
20 its charge within a 12-month period of time,
21 which is what we're aiming for.

22 DR. CAGGANA: Yes.

23 DR. CALONGE: If the Committee is okay,
24 we'll proceed with that group, and Jeff has said
25 that he's already working on the blueprint, so.
26 At this point I'd like to open things up for new
27 business. I'm sorry, Melissa.

28 DR. PARISI: Can I just add one thing

1 to the blueprint for follow up with conditions,
2 and I think this actually reflects the
3 discussion that was initiated yesterday morning
4 by Don Bailey and his colleagues. And if there
5 is a way in which we can potentially build off
6 of some of their work to bring early
7 intervention into some of that blueprint for
8 follow up, I think that might be something that
9 would be a goal worth considering, and I'd love
10 other people's feedback on that.

11 DR. CALONGE: Jeff?

12 DR. BROSCO: Yeah, it's Jeff Brosco. We
13 already made a preface to figure that out. But
14 it's down the road. It's a work in process.

15 **New Business**

16 DR. CALONGE: Great. I'd like to call
17 on Shawn to bring up his issues of potential new
18 business.

19 DR. MCCANDLESS: Thank you. Shawn
20 McCandless, member. I think that one of the
21 issues that I had mentioned has already been
22 discussed, and there's been with the secondary
23 targets and counting. The other issue that I had
24 proposed we discuss is one that I've been
25 thinking about a lot over the past two years,
26 and that is related to the fact that newborn
27 screening is a population based compulsory

1 public health system that every child is
2 required to go through, essentially, in the U.S.

3 And every family that has a child that
4 is required to go through, which creates a very
5 high bar for both the evidence-based for
6 decision making, and the requirement that we be
7 incredibly thoughtful about all aspects of who
8 will be impacted by the testing, and how they
9 will be impacted.

10 And many of the things that come up
11 really seem to be there probably are other
12 opportunities for screening for conditions that
13 would be valuable for a Committee whose charge
14 is to think about inheritable disorders of
15 newborns and children. There may be other times
16 for screening that would not be compulsory,
17 population based newborn screening, but would be
18 very appropriate for some conditions and some
19 targets.

20 And so, what I was proposing is that we
21 have a discussion about what would be other
22 naturally occurring opportunities for population
23 based screening to occur on a voluntary basis
24 that at least to start with on a voluntary
25 basis, that we could also include in our
26 discussion and thought processes, so that if a
27 condition is proposed for newborn screening and
28 the decision is made that it may not be

1 appropriate for newborn screening because of the
2 high bar of evidence required, and the impact of
3 the outcomes involved.

4 But that there may be another time for
5 which screening would be appropriate for that
6 condition that this Committee would then have an
7 opportunity to say this is not appropriate for -
8 we don't recommend adding this to the
9 recommending uniform screen panel, but this
10 would be very appropriate for screening at the
11 one year well child check, or something like
12 that.

13 So, the proposal would be that we
14 develop a panel of other opportunities for
15 screening so that there are then options for
16 valuable conditions for screening, that maybe
17 don't meet the high evidentiary bar that would
18 be required for compulsory newborn screening.

19 DR. CALONGE: Thanks Shawn. And I have
20 to say I was reminded during the lunch break
21 that while Melissa is correct around the USPSTF,
22 having a hard time with pediatric conditions is
23 a completely separate process called Bright
24 Futures, which has the same impact, in fact
25 today has more of an impact because they weren't
26 dismissed by a judge in Texas.

27 So Bright Futures, Shawn, to kind of
28 your point, assures that recommendations made by

1 the Bright Futures Group actually have the same
2 impact of a recommendation from the USPSTF in
3 terms of funding for the testing of the follow-
4 up. So, I only bring that up now first of all
5 because I think it's important to recognize
6 there is a separate process, and in some ways it
7 would be a potential audience for
8 recommendations around screening that could
9 occur later in childhood, and so I'll just add
10 that and then open it up for discussions, and I
11 see Kellie has her hand up.

12 DR. KELM: Kellie Kelm, Committee
13 member. I want to say that since I've been here
14 14 years, there was previously discussions and
15 presentations at this Committee about thinking
16 about screening at times other than birth, and
17 maybe that's something that we can go back and
18 look at, and I don't remember what came out of
19 it.

20 But I think we had like, you know, a
21 half day of some -- I don't know if anybody else
22 remembers, but. Yeah, it was Don, so there you
23 go. Anyway, it might also still be worthwhile to
24 look back and see what we have previously
25 discussed, and whether there are any outcomes
26 there.

27 DR. CALONGE: Any other comments? Jeff?

28 DR. BOSCO: I think it's a question for

1 Shawn. Do you have particular things in mind, in
2 your clinical experience and so on, that you
3 were thinking boy, it would be great to screen
4 for this at this time, or do you have some ideas
5 already?

6 DR. MCCANDLESS: Yeah. I think
7 something like Fragile X Syndrome would be a
8 very appropriate thing to offer as a screen that
9 would occur at the one year well child check
10 because that's, you know, it's voluntary, so
11 there's you know, that's a time when many
12 families are concerned about their child's
13 development, that their pediatrician may not yet
14 be concerned.

15 So, it would give those families an
16 opportunity to sort of drive the testing, and
17 one could think about a lot of things like that.
18 It's possible that Duchenne might be appropriate
19 for one year -- for screening at one year of
20 age, depending on how the data plays out in
21 terms of the appropriate time to initiate
22 therapy. It may be that that one is more
23 appropriate. The other thought that I have is
24 that this Committee could potentially help to
25 drive the conversation around carrier screening,
26 which I know has a lot of societal implications
27 that, and maybe even some baggage associated
28 with it, but the idea that carrier screening is

1 I believe going to become more and more
2 important as we recognize that opportunities to
3 treat many of these conditions, and I think
4 Pompe, we now have very clear evidence that
5 prenatal treatment is better than neonatal
6 treatment.

7 I would be shocked if SMA doesn't end
8 up in the same place. I think other conditions
9 for which gene therapy is going to be
10 appropriate are probably going to have
11 significant advantages to prenatal therapy. And
12 the opportunity for prenatal screening is the
13 way we do prenatal carrier screening now is not
14 good. It's very poorly counseled.

15 I think there are opportunities in the
16 pediatric community to advance carrier screening
17 during adolescent age visits that would be very
18 appropriate, and empower young people to make
19 sure that they have the knowledge they need for
20 reproductive decisions later in life, so that we
21 don't just focus on birth control, but focus on
22 sort of long-term adult issues as well, that age
23 group.

24 I recognize that there are issues about
25 equity, who gets access to adolescent well child
26 care, and other things, and those are all
27 important considerations, but it just seems to
28 me that off the top of my head adolescent well

1 child visits are an opportunity for carrier
2 screening that this Committee could be
3 advocating for, and making recommendations that
4 might add to the conversation.

5 The one year well child check, lead
6 poisoning and hematic screening are sometimes
7 occurring, or have traditionally occurred would
8 be two obvious opportunities.

9 DR. CALONGE: Natasha?

10 MS. BONHOMME: Natasha Bonhomme,
11 Genetic Alliance. Just to give a little bit,
12 because I think I've been to almost as many
13 meetings as Kellie has, in terms of looking at
14 more childhood screening, Don when he was on the
15 Committee, did a lot of that work, and I can't
16 remember who your co-chair was. But I think that
17 Beth -- it was Beth, and that was an offshoot, I
18 believe, of the Education and Training
19 Committee, and I think there was a write up and
20 a whole bunch that has been done on that, so it
21 would be great to pull that, and the discussion.
22 And I think, also, is that an opportunity maybe
23 not to recreate that, but to see where that is,
24 and to say what would we do next because I think
25 that was a big thing.

26 A lot of thought went into that, and
27 then I wouldn't say nothing happened, a lot
28 happened. But just I think things happened

1 outside of the Committee, so I just wanted to
2 call that out.

3 DR. CALONGE: Natasha, it's a very
4 important point to recognize. A lot of really
5 important things happen outside of the
6 Committee, and we want to support those, and how
7 those translate into heritable disorders of
8 newborns and children. It's an important issue.

9 So how about the process would be to
10 ask Don to help us identify what he did, and
11 what's been done since then, and send it
12 directly to Shawn McCandless, who will write up
13 a set of slides for a proposal at the next
14 meeting. Sorry, Jeff.

15 DR. BROSCO: I think it's a great idea.
16 I was going to say that HRSA staff can also
17 think about between maybe it's a presentation at
18 our next meeting that would involve different
19 folks.

20 DR. CALONGE: Shawn?

21 DR. MCCANDLESS: I defer to Dr.
22 Brosco's proposal that the HRSA staff decide
23 who's going to present, and if that's necessary.
24 I would say though that it would be also
25 valuable to -- we should probably think about
26 who the other partners should be, AFP would be
27 an obvious choice. The Academy for Family
28 Practice would be an obvious choice, and likely

1 others.

2 DR. CALONGE: I also think, you know,
3 reaching out to the Bright Futures process is
4 important. It's a great process that actually
5 was put in place to acknowledge the fact that
6 the evidence based are for things like
7 anticipatory guidance and some screenings in the
8 pediatric population don't lend themselves well
9 to methods that were specifically written around
10 adults and RCTs.

11 I guess the one last thing I'll say,
12 Melissa left, but you can get to the -- or a
13 recommendation without an RCT at the USPSTF.
14 There is a feeling that you can only get there
15 with RCT and it just isn't the case, so I want
16 to make sure people know that the world of
17 research is broader than the RCT, although it's
18 nice when you have them. Thanks. Okay Susan?

19 DR. TANKSLEY: Susan Tanksley,
20 Association of Public Health Laboratories. So, I
21 mean we've already talked about doing a work
22 group on counting conditions, and including
23 secondary targets, so I do want to stress I
24 think that it should be a broad membership who
25 is part of that work group.

26 DR. CALONGE: So, I suppose with that
27 statement that it would be okay to start
28 recruiting broadly for a work group whose

1 product would be a recommendation around
2 counting conditions in uniform and structured
3 approach. Yes Scott?

4 DR. SHONE: Just to add on to Susan.
5 You know, I appreciate APHL bringing this to the
6 forefront of the meeting, and also Michele and
7 Shawn as Committee members vocalizing this. But
8 you know, as a representative from ASTHO, you
9 know the state health officials are going to
10 play a critical role in making sure this happens
11 uniformly across the country, and for all
12 programs, whether they have a lab or not, right?

13 So, I want to pledge the support of
14 ASTHO toward collaborate and work with APHL and
15 in a broad swath of the community to make sure
16 that this happens, and also collaborate with all
17 of our partners across the different health
18 departments to make sure that this can happen
19 once this Committee makes a recommendation
20 moving forward.

21 DR. CALONGE: Thanks. Natasha?

22 MS. BONHOMME: Natasha Bonhomme,
23 Genetic Alliance. Like this is not me
24 volunteering, but I think either as maybe not
25 part of this work, but adjacent to it or right
26 after really then saying how are we going to
27 communicate this out? Because and I know a lot
28 of times education and things gets added on to

1 the end, but that will be extremely difficult.

2 And I would say it's actually the
3 communication around this that has also been a
4 big push to have this Committee come together,
5 and that that will take -- it's just not going
6 to be easy, so I don't know if that would be
7 another Committee, or bringing experts or what
8 have you, but to really think that that's going
9 to be a whole project upon itself. It's not just
10 going to be an oh, let's update a website, so
11 just to have that be in the mix.

12 DR. CALONGE: I appreciate that. Thanks
13 for the comment, and as you put your tent up I
14 was also thinking sure that we're -- no I was
15 just, it just made me realize, you know, it's
16 not unusual for the room to thin out on day two,
17 which I recognize, especially when it's Friday
18 afternoon.

19 And as we are thinking about
20 recruitment for these groups to assure that
21 interested member of the public, or interest
22 groups know that we're doing this, and so we
23 could have recommendations for potential
24 interested members of the public, or interest
25 groups.

26 And I know, I'm sorry, I feel that
27 reading, looking at the website, and looking at
28 announcements is one thing that happens, but a

1 structured outreach to those individuals who --
2 I see EveryLife is still with us, and Natasha,
3 you're still with us. I've been thinking about
4 how to make sure that those -- that the public,
5 members of the public who have interests in
6 these topics are aware that we're recruiting
7 work groups.

8 And we have a process, which is
9 Leticia, of gathering those names, and putting
10 the groups together. Pardon me? Oh, Debra, I see
11 you there.

12 DR. FREEDENBERG: I actually have to
13 make a comment from the previous conversation.
14 It's been up since then. I just wanted to say
15 two things. One was that anything that would
16 involve childhood screenings should absolutely,
17 you should be involved in that discussion.

18 And then Marc may want to make a
19 comment because ACMG has done a lot of work
20 around the carrier screening issue, and I don't
21 know if Marc wanted to make a further comment
22 about that, or whether that would just be part
23 of the joint opportunity.

24 DR. WILLIAMS: Thanks Deb. In our
25 recently published carrier screening document we
26 focused more on the methodology for how we would
27 determine which conditions and screening were
28 based on things like prevalence population, to

1 get away from some of ethnicity based screening
2 approach to a more prevalence spaced offer to
3 bring a little bit of rationality to a world
4 where it often seems to be a selling point that
5 more is better.

6 We did not specifically address timing,
7 so I think the point that Shawn brings up is a
8 good one and should be considered and lord knows
9 there would be plenty of room to improve what we
10 do for our adolescents and well child setting.
11 Now the challenge, and I know the pediatricians
12 in the room, and they had mentioned Bright
13 Futures probably had a bit of a -- it's never
14 been quantified like the Internists have, when
15 they say we're supposed to do all the
16 anticipatory guidance that was given in the
17 internal medicine guidelines, and then take
18 eight hours of visit. I suspect the same would
19 be true for the Bright Futures recommendations,
20 and so you tend to pick and choose, and I think
21 that's part of the reasons that we see very
22 spotty uptake in some of the recommendations for
23 things like blood cell screening, and as you
24 through Shawn, lab and those types of things.

25 We just don't do as great a job as we
26 should because there's so much we have to get
27 to. So, in some ways where screening becomes
28 involved because it's the one time where we can

1 get everybody, which is not necessarily the best
2 rationale for saying we should do things in that
3 setting, but it is a pragmatic.

4 DR. CALONGE: Thanks. The next new
5 business piece I'd like to bring up is the
6 concept of reconsideration of a topic for which
7 there's been an evidence review that is still
8 fresh, and that the request for the nominators,
9 or the evaluators has been to provide additional
10 evidence in a specific area.

11 And my proposal for the group is that
12 if those additional items are made available
13 within a year of the prior Committee discussion,
14 the entire evidence review would not have to be
15 redone, only the new evidence would need to be
16 incorporated into the discussion, into the
17 review, the discussion and the vote, and that's
18 those of us who know that that evidence is
19 actually often rapidly changing.

20 This would keep from requiring gap
21 analysis of the other evidence, and would
22 provide for a much more I would say efficient
23 and timely re-review discussion and vote for a
24 specific topic, so I'd like to propose to the
25 Committee that we adopt that approach to a rapid
26 re-review in the setting of what I would call a
27 fresh evidence review. Ash?

28 DR. LAL: I support that.

1 DR. CALONGE: And assuming that people
2 would support that, I would entertain a motion
3 and a vote. Oh, sorry. I'm reminded because we
4 are a federal advisory committee. We cannot do
5 what I just asked for, so what we will do is, we
6 will put this on the agenda for our next meeting
7 with a formal proposal and vote. Thank you,
8 Debi, she's my conscious. I almost never look at
9 her, but I was at this point, and I appreciate
10 that.

11 So, yep, we have to follow the rules.
12 So, we'll bring that up at the next time.
13 Appreciate that. That ends my list of new
14 business. Is there additional new business that
15 the Committee would like to bring forward for
16 consideration? Seeing none, I believe I can
17 adjourn the meeting, and would do so.

18 I want to thank again everyone.
19 Everyone who is not in the room, oh sorry? Oh,
20 thanks, thanks, thanks, thanks. I could ask for
21 a motion to approve the minutes. See I even
22 wrote myself a note, but I ignored it. Is there
23 a motion to approve the minutes?

24 DR. MCCANDLESS: Shawn McCandless. I
25 move that we approve the minutes as provided
26 this morning.

27 DR. CALONGE: Could I have a second?

28 DR. CODY: I second.

1 DR. CALONGE: Any further discussion?
2 All those in favor of accepting the minutes
3 please -- oh sorry, Michele.

4 DR. CAGGANA: I'm sorry. There's just a
5 couple items that I sent in from what was
6 provided yeah.

7 DR. CALONGE: Could you tell us what
8 those were, sorry? So that we can approve it
9 pending those revisions.

10 DR. CAGGANA: They were in the minutes.
11 New York State began screening for Krabbe in
12 2006, not 2016.

13 DR. CALONGE: Okay.

14 DR. CAGGANA: I think you changed the
15 one that had Hunter Kelly was eight and a half,
16 but he passed away at that age. What else is
17 there? The one case that was discussed was a
18 false negative, not a false positive, with the
19 psychosine that was low. Of course, now my
20 computer is slow. And there was one more.

21 MS. MANNING: Michele, it was the one
22 on page nine around the false negative.

23 DR. CAGGANA: Yes.

24 MS. MANNING: About the missed case?

25 DR. CAGGANA: Yes.

26 MS. MANNING: Okay. And I have these
27 edits and will incorporate them.

28 DR. CAGGANA: And so, you got them,

1 okay.

2 MS. MANNING: Yes.

3 DR. CAGGANA: Okay.

4 DR. CALONGE: So, I just have to ask
5 the mover and seconder, do you accept those
6 changes?

7 DR. MCCANDLESS: Yes. I was just going
8 to say I move to accept any sort of factual
9 changes that were proposed in the meantime.

10 DR. CALONGE: Thanks.

11 DR. CAGGANA: Thank you.

12 DR. CALONGE: Now all those in favor
13 please say aye.

14 CHORUS: Aye.

15 DR. CALONGE: Christine on the phone,
16 if you could unmute, and Kyle unmute and just
17 give us your vote, that would be so great.

18 DR. BROTHERS: Aye.

19 DR. DORLEY: I recuse to vote as I
20 wasn't present for that meeting.

21 DR. CALONGE: That's a great answer,
22 thank you Christine. With that the motion
23 passes. Any further business? All right. Any
24 other notes though? I declare the meeting
25 adjourned, and look forward to our upcoming
26 meeting in August, and thanks again for everyone
27 who testified, engagement of Committee members,
28 organizational reps, the passionate and

1 articulate, and moving testimony of those
2 providing public comments.

3 And of course, the herds of staff that
4 make the meetings possible, and Leticia, great
5 job. Thanks everyone.

6 (Whereupon the Meeting of the Health
7 Resources and Service Administration concluded
8 at 1:20 p.m.)