

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19

THE ADVISORY COMMITTEE ON HERITABLE DISORDERS
IN NEWBORNS AND CHILDREN
IN-PERSON/WEBINAR

HRSA HEADQUARTERS
5600 FISHERS LANE
ROCKVILLE, MARYLAND 20852 (Pavilion)
Thursday, August 8, 2024

Table of Contents

1

2 COMMITTEE MEMBERS:..... 4

3 EX - OFFICIO MEMBERS..... 6

4 ACTING DESIGNATED FEDERAL OFFICER..... 9

5 ORGANIZATIONAL REPRESENTATIVES..... 9

6 Welcome, Roll Call, Opening Remarks, and Committee
7 Business..... 15

8 ACHDNC Nomination and Evidence Review Process..... 35
9 Committee Discussion 45

10 ACHDNC Decision Matrix Tool: Public Health System
11 Assessment..... 63
12 Committee Discussion 73

13 Standardized Reporting of Newborn Screening Outcomes
14 (STAR-NBS) 128
15 Committee Discussion 134

16 ACHDNC Review of Research Focusing on Lived
17 Experience Perspectives..... 135
18 Committee Discussion 142

19 Approaches to Population-Based Screening in Newborns
20 and Children..... 157
21 Committee Discussion 191

1 End of Day 1..... 229
2
3

COMMITTEE MEMBERS:

Ned Calonge, MD, MPH (Chairperson)

Associate Dean for Public Health Practice
Colorado School of Public Health

Michele Caggana, ScD

Deputy Director, Division of Genetics
New York Department of Health

Janine Cody, PhD

Professor, Department of Pediatrics
Director, Chromosome 18 Clinical Research Center
Founder and President
The Chromosome 18 Registry & Research Society

Christine Dorley. PhD, MS, MT (ASCP)

Assistant Director, Laboratory Services
Tennessee Department of Health

COMMITTEE MEMBERS
(CONTINUED)

Jennifer Kwon, MD, MPH, FAAN

Director, Pediatric Neuromuscular Program

American Family Children's Hospital

Professor of Child Neurology

University of Wisconsin School of Medicine and Public

Health

Ashutosh Lal, MD

Professor of Clinical Pediatrics

University of California San Francisco (UCSF) School of

Medicine

COMMITTEE MEMBERS
(CONTINUED)

Chanika Phornphutkul, MD, FACMG

Professor of Pediatrics and Pathology and

Laboratory Medicine and Genetics

Director, Division of Human Genetics

Department of Pediatrics

Brown University

Hasbro Children's Hospital / Rhode Island Hospital

EX - OFFICIO MEMBERS

Agency for Healthcare Research & Quality

Robyn Sagatov, PhD, MHS, RDN

Senior Advisor

Child Health and Quality Improvement

EX-OFFICIO MEMBERS
(CONTINUED)

Centers for Disease Control and Prevention

Carla Cuthbert, PhD

Chief, Newborn Screening and Molecular Biology Branch

Division of Laboratory Sciences

National Center for Environmental Health

Food and Drug Administration

Paula Caposino, PhD

Acting Deputy Director, Division of Chemistry

and Toxicology Devices

Office of In Vitro Diagnostics

EX-OFFICIO MEMBERS
(CONTINUED)

Health Resources & Services Administration

Jeff Brosco, MD

Director

Division of Services for Children with

Special Health Needs

Maternal and Child Health Bureau

National Institute of Health

Diana W. Bianchi, MD

Director

Eunice Kennedy Shriver National Institute

of Child Health and Human Development

1 **ACTING DESIGNATED FEDERAL OFFICER**

2 **CDR Leticia Manning, MPH**

3 Health Resources and Services Administration

4 Genetic Services Branch

5 Maternal and Child Health Bureau

6
7 **ORGANIZATIONAL REPRESENTATIVES**

8
9 **American Academy of Family Physicians**

10 Robert Ostrander, MD

11 Valley View Family Practice

12
13 **American Academy of Pediatrics**

14 Debra Freedenberg, MD, PhD

15 Medical Genetics Consultant

ORGANIZATIONAL REPRESENTATIVES
(Continued)

American College of Medical Genetics & Genomics

Cynthia Powell, MD

Professor of Pediatrics and Genetics

Director, Medical Genetics Residency Program

Division of Pediatric Genetics and Metabolism

The University of North Carolina at Chapel Hill

American College of Obstetricians & Gynecologists

Steven J. Ralston, MD, MPH

Chair, OB/GYN

Pennsylvania Hospital

Association of Maternal & Child Health Programs

Sabra Anckner, RN, MSN

Acting Organizational Representative

Associate Director, Clinical & Community Collaboration

ORGANIZATIONAL REPRESENTATIVES
(Continued)

Association of Public Health Laboratories

Susan M. Tanksley, PhD

Manager, Laboratory Operations Unit Texas Department of
State Health Services

Association of State & Territorial Health

Scott M. Shone, PhD, HCLD(ABB)

Director, North Carolina State Laboratory of Public
Health

ORGANIZATIONAL REPRESENTATIVES
(Continued)

**Association of Women's Health, Obstetric & Neonatal
Nurses**

Shakira Henderson, PhD, DNP

Dean, College of Nursing - Chief Administrative Officer,
UF College of Nursing

Associate Vice President for Nursing Education, Practice
and Research - System Chief Nurse Executive, UF Health
University of Florida

Child Neurology Society

Margie Ream, MD, PhD

Associate Professor

Director, Leukodystrophy Care Clinic

Director, Child Neurology Residency Program

Nationwide Children's Hospital, Division of Neurology

ORGANIZATIONAL REPRESENTATIVES
(Continued)

Department of Defense

Jacob Hogue, MD

Lieutenant Colonel, Medical Corps, U.S. Army

Chief, Genetics, Madigan Army Medical Center

Genetic Alliance

Natasha Bonhomme

Vice President of Strategic Development

March of Dimes

Siobhan Dolan, MD, MPH, MBA

Professor and Vice-Chair, Genetics and Geonomics

Department of Obstetrics, Gynecology, and Reproductive

Science

Icahn School of Medicine at Mount Sinai

ORGANIZATIONAL REPRESENTATIVES

(Continued)

National Society of Genetic Counselors

Amy Gaviglio, MS, CGC

Founder and CEO,

Connetics Consulting LLC

Society for Inherited Metabolic Disorders

Susan A. Berry, MD

Professor, Division of Genetics and Metabolism

Department of Pediatrics

University of Minnesota

P R O C E E D I N G S

Welcome, Roll Call, Opening Remarks, and Committee

Business

DR. CALONGE: Good morning. I want to welcome everyone to the August Advisory Committee on Heritable Disorders in Newborns and Children in 2024. As we gather here in person at 5600 Fishers Lane, Rockville, Maryland, I want to open the meeting by taking a moment to acknowledge the land we gather on today.

We acknowledge that the land and water on which our meeting is taking place was and still -- is still inhabited and cared for by the Susquehannock Tribe, and the Piscataway peoples, including the Piscataway Conoy Tribe, and Choptico Band of the Piscataway Indian Nation.

We are grateful for their past and continued stewardship of this land, and we pay our respects to Maryland's indigenous community and their elders, past

1 and present, as well as future generations. And we are
2 very excited today to welcome two Committee Members.
3 That also means we're saying farewell to two more.

4 But let me start with our new member Robyn
5 Sagatov -- I'll get it wrong, Sagatov. Dr. Robyn
6 Sagatov, finally enough times, Sagatov will be the new
7 Committee Member representing the Agency for Healthcare
8 Research and Quality. She's a Senior Advisor for
9 Children's Health in the Division of Priority
10 Populations in the Office of Extramural Research,
11 Education and Priority Populations at the Agency for
12 Health Research and Equality.

13 She has over 15 years of experience in health
14 research, with a focus on maternal and child health, and
15 please help me welcome Dr. Sagatov. So that means we
16 would like to thank Dr. Kamila Mistry from AHRQ for her
17 services and dedication to this Advisory Committee for
18 almost a decade, and Kamila, I wonder if you would just
19 come up for a moment.

1 This is a HRSA service award --

2 DR. CALONGE: DR. MISTRY: Thank you.

3 DR. CALONGE: DR. CALONGE: And I don't know if
4 you would like to say a couple of words. Thanks.

5 DR. MISTRY: Well, I will miss all of you
6 very, very much, and this meeting has always had a
7 special place in my heart in terms of the work that we
8 do together. We've done a lot, and miles to go, so I'll
9 definitely be watching, a little bit from afar, and also
10 hearing from Robyn how everything is going, but welcome
11 Robyn, and thank you all. It's a great opportunity,
12 thank you.

13 DR. CALONGE: Robyn, I didn't give you a
14 chance to make a comment if you'd like. You have to
15 push the button.

16 DR. SAGATOV: All right. Is it working?

17 DR. CALONGE: Yes.

18 DR. SAGATOV: I just want to thank you for
19 welcoming me to this Committee. I got to sit in on the

1 last meeting, and I was very impressed by all of the
2 information everybody considers for, you know, these
3 meetings, so thank you for the opportunity.

4 DR. CALONGE: We're looking forward to
5 working with you, and thanks for being here. Next, I'd
6 like to turn to Jeff Brosco. You're probably familiar
7 with our next new member. He'll be serving as a
8 Committee Member for the Health Resources and Services
9 Administration. Jeff is a pediatrician and historian
10 who serves as the Director for the Division of Services
11 for Children with Special Health Needs, or DSCSHN, in
12 HRSA's Maternal and Child Health Bureau.

13 He also teaches and practices developmental
14 behavior of pediatrics at the University of Miami, where
15 he contributes to scholarship through UM's Institute for
16 Bioethics and Health Policy. As the DSCSHN Director,
17 Dr. Brosco leads an interdisciplinary team, tasked with
18 ensuring that every child in the U.S. receives the
19 services they need to play, go to school, and grow up to

1 be healthy and productive adults.

2 So, welcome Jeff. Do you have a comment or
3 two to make in your new role?

4 DR. BROSCO: No.

5 DR. CALONGE: A man of few words. That means
6 we would like to just pause and thank Dr. Michael
7 Warren. He's not with us today, but he, you know,
8 served as the Committee Member for a number of years, a
9 very valued proponent and supporter of the work of the
10 Advisory Committee on Heritable Disorders in Newborns
11 and Children.

12 He will continue to support us in his role
13 with the Secretary and the Administrator, and we really
14 do appreciate what he's meant to us. Jeff?

15 DR. BROSCO: This is Jeff Brosco. I just
16 want to say a word about Dr. Warren. He has vast
17 responsibility across the entire Maternal Child Health
18 Bureau, and I will tell you he is deeply involved in
19 what happens in this Committee. When we go to brief

1 him, he's usually briefing us.

2 He's been through every page of the briefing
3 book. He knows exactly what's going on. He is
4 absolutely going to be continuing to be part of this, so
5 when Debi and I meet with him, he always wants to know
6 what's happening in the Committee. He always has ideas,
7 and he will continue to be very involved.

8 DR. CALONGE: Thanks, Jeff. We also have a
9 new member in our Organizational Representative group,
10 someone who is a familiar face, I think to many people
11 who work in newborn screening, and members of the
12 Committee and staff. Amy Gavigilio, is a certified
13 genetic counselor, and founder of Connetics Consulting,
14 LLC.

15 It provides newborn screening, public health
16 genomics, and rare disease services in the U.S. and
17 globally. Amy has worked in the newborn screening and
18 rare disease space for the past 17 years. She currently
19 works with the Association of Public Health

1 Laboratories, Expecting Health, RTI International, and
2 several other rare disease and genomic organizations, as
3 well as the CDC.

4 Amy also serves as the Chair of the NBS
5 Expert Panel for Clinical and Laboratory Standards
6 Institute, and is currently the Chair of Minnesota's
7 Rare Disease Advisory Council, and is joining us as a
8 new Organizational Rep for the National Society of
9 Genetic Counselors. Any comments you'd like to make,
10 Amy?

11 DR. GAVIGLIO: No. Just to say thank you,
12 and I'm honored to be in this role, so thank you.

13 DR. CALONGE: And again, thanks for making
14 the trip and joining us, and we look forward to your
15 participation. That means we are saying goodbye to Cate
16 Walsh Vockley, who is yeah, she's --- there she is, hi
17 Cate. She has served as the National Society of Genetic
18 Counselors, Organization Representative for I think more
19 than a decade, and I think she's moving on off the

1 Committee to see what in my note says, "some more
2 enjoyable things." It's just hard to believe what that
3 may be. -Cate, do you have any comments for us today?

4 DR. VOCKLEY: My more enjoyable things is I'm
5 planning to retire at the end of the year, so I thought
6 it was time to pass the torch. I have really enjoyed my
7 work with the Committee. I especially enjoyed working
8 on the Education Subcommittee when that existed. I'm
9 really enthusiastic about the work currently being done
10 to include lived experiences in the deliberations about
11 additional conditions, and I wholeheartedly support
12 Amy's addition to the Committee.

13 She's done so much work in newborn screening,
14 and I think will really be a terrific addition, so thank
15 you all. It's been a wonderful experience.

16 DR. CALONGE: Thanks Cate. That's all my
17 introductory comments. I'd like to call Leticia Manning
18 to the podium to do a roll call and go over some
19 logistics, and point out where everything is.

1 COMMANDER MANNING: Thank you, Ned. Welcome
2 everyone to rainy D.C. in August. It's a lovely time.
3 So, we're going to start off with the roll call, and
4 just acknowledge that you're here when I say your name.
5 Michele Caggana?

6 DR. CAGGANA: Good morning, I'm here.

7 COMMANDER MANNING: Ned Calonge?

8 DR. CALONGE: I am here.

9 COMMANDER MANNING: From the Centers for
10 Disease Control and Prevention Carla Cuthbert?

11 DR. CUTHBERT: I'm here.

12 COMMANDER MANNING: From the Agency for
13 Healthcare Research and Quality, Robyn Sagatov?

14 DR. SAGATOV: Here, thank you.

15 COMMANDER MANNING: Janine Cody?

16 DR. CODY: Here.

17 COMMANDER MANNING: Christine Dorley?

18 DR. DORLEY: Here.

19 COMMANDER MANNING: From the Food and Drug

1 Administration Paula Caposino?

2 DR. CAPOSINO: Good morning. I am here, I'm
3 virtual today.

4 COMMANDER MANNING: From the Health Resources
5 and Services Administration, Jeff Brosco?

6 DR. BROSCO: Present.

7 COMMANDER MANNING: Jennifer Kwon?

8 DR. KWON: Hi, I'm looking at the blank spot
9 where I should be sitting. I am here, and I'll be
10 really present in the afternoon.

11 COMMANDER MANNING: Thank you. Ash Lal?

12 DR. LAL: Here.

13 COMMANDER MANNING: From the National
14 Institute of Health, Melissa Parisi?

15 DR. PARISI: Here.

16 COMMANDER MANNING: And
17 Chanika Phornphutkul?

18 DR. PHORNPHTKUL: Here.

19 COMMANDER MANNING: Okay. And now, I'll do a

1 roll call for the Organizational Representatives. From
2 the American Academy of Family Physicians, Robert
3 Ostrander?

4 DR. OSTRANDER: Here.

5 COMMANDER MANNING: From the American Academy
6 of Pediatrics, Debra Freedenberg?

7 DR. FREEDENBERG: Here.

8 COMMANDER MANNING: From the American College
9 of Medical Genetics, Mira Irons?

10 DR. IRONS: Here.

11 COMMANDER MANNING: From the American College
12 of Obstetricians and Gynecologist, Mara Black? Okay.
13 From the Association of Maternal and Child Health
14 Programs, Sabra Anckner.

15 DR. ANCKNER: Here.

16 COMMANDER MANNING: From
17 the Association of Public Health Laboratories, Susan
18 Tanksley?

19 DR. TANKSLEY: Here.

1 COMMANDER MANNING: From the Association of
2 State and Territorial Health, Scott Shone?

3 DR. SHONE: Here.

4 COMMANDER MANNING: From the Association of
5 Women's Health Obstetric and Neonatal Nurses, Katie
6 Swinyer?

7 MS. SWINYER: Good morning, I'm present, it's
8 Katie Swinyer.

9 COMMANDER MANNING: Swinyer, thank you.

10 MS. SWINYER: Thank you.

11 COMMANDER MANNING: From the Child Neurology
12 Society, Margie Ream?

13 DR. REAM: Here.

14 COMMANDER MANNING: From the Department of
15 Defense, Jacob Hogue?

16 MR. HOGUE: Here.

17 COMMANDER MANNING: From the Genetic
18 Alliance, Natasha Bonhomme?

19 MS. BONHOMME: Here.

1 COMMANDER MANNING: From the March of Dimes,
2 KJ Hertz? From the National Society of Genetic
3 Counselors, Amy Gaviglio?

4 DR. GAVIGLIO: Here.

5 COMMANDER MANNING: And from the Society for
6 Inherited Metabolic Disorders, Sue Berry.

7 DR. BERRY: Here.

8 COMMANDER MANNING: Okay, thank you. That is
9 roll call. Now, I'm just going to go over a few
10 housekeeping items. So, according to FACA, which is the
11 Federal Advisory Committee Act, all Committee Meetings
12 are open to the public. If the public wish to
13 participate in the discussion, the procedures for doing
14 so are published in the Federal Register, and/or are
15 announced at the opening of a meeting.

16 And so for this meeting it was published in
17 the Federal Register. Only with advance approval of the
18 Chair, or the Designated Federal Official, which is
19 myself, may public participants question Committee

1 Members or other presenters. Public participants may
2 submit written statements, and we did receive several
3 written comments that were provided to the Committee in
4 advance.

5 As a reminder, it is stated in the Federal
6 Register Notice, as well as the registration website,
7 that all written, public comments are part of the
8 official meeting record and are shared with Committee
9 members. Any further public participation will be
10 solely at the discretion of the Chair, and the DFO.

11 In regards to ethics and conflicts of
12 interest, I must remind Committee Members that you must
13 recuse yourself from participation in all particular
14 matters likely to affect the financial interest of any
15 organization, with which you serve as an officer,
16 director, trustee, or general partner, unless you are
17 also an employee of the organization, or unless you have
18 received a waiver from the Health and Human Services
19 authorizing you to participate.

1 As is the case today when a vote is
2 scheduled, or an activity is proposed, and you have a
3 question about a potential conflict of interest, please
4 notify me immediately. So today we are all here in 5600
5 Fishers Lane. Visitors only have access to the fifth
6 floor, and even though we entered on this floor, it is
7 considered to be the fifth floor.

8 There is a cafeteria across the way here.
9 There are restrooms behind us on both sides, and in
10 front of us on both sides of the building. Visitors are
11 not allowed to take videos or photographs in the
12 building. If you need to leave and re-enter, you will
13 be required to go through security screening again, so
14 we really encourage folks not to leave.

15 But if you need to leave, please notify HRSA
16 staff, we're located all around here, and someone could
17 escort you in and out of the building. During an
18 evacuation, please exit the conference room, and exit
19 out the way you entered through that way there. You'll

1 see people headed to a parking lot kind of across the
2 way, and that's where we'll wait until we're informed
3 that we can enter -- reenter the building.

4 Okay. For those of you that are joining us
5 virtually, audio will come through your computer.

6 You're able to speak through your computer microphone.

7 If you are unable to access the audio, or microphone
8 through your computer or conference line, I mean please
9 use the conference line that was sent to you via email.

10 Also, in order to aid the logistics part of the meeting,
11 when you are speaking virtually please turn your camera
12 on and use the raised hand feature.

13 If you're having technical difficulties, try
14 reopening the webinar using a different browser, and if
15 you still have technical issues, please refer to the
16 contact information provided in the registration
17 confirmation email that you received previously. Okay.

18 And this is just a screenshot of how to access the
19 closed captions icon through Zoom.

1 And the last thing that I want to go over is
2 many of you are aware that we recently funded a new
3 grant program titled the Cooperative Newborn Screening
4 System Priorities Program, or NBS Co-Propel. We've
5 provided over three million dollars, where it was
6 awarded to states, and this program builds on the
7 Newborn Screening Propel Program to ensure all babies
8 receive better screening and care, and it shares the
9 same goals as the NBS Propel Program, but it allows
10 states to partner as a region to apply for funding.

11 So, this is a map displaying the Propel
12 states as well as the Co-Propel states. And the striped
13 states that you see on the map are states that are
14 consortium states, so they receive funding, even if it's
15 not direct funding through the consortium. And so, we
16 are very excited about this newly awarded grant
17 opportunity. Thank you, Jeff?

18 DR. BROSCO: Yeah, this is Jeff Brosco.

19 COMMANDER MANNING: Just grab another mic.

1 DR. BROSCO: All right. So this is Jeff
2 Brosco. I just wanted to reiterate that the whole idea
3 with the Propel and Co-Propel grants is to make sure
4 that we are fulfilling the promise of newborn screening,
5 and the three objectives of these are to help states
6 with taking on new conditions that we add to the RUSP
7 there to help states with the quality indicators for
8 timeliness, and help states start looking at long-term
9 follow-up more generally, so something this Committee
10 has been talking about for over a decade.

11 So, we are really thrilled to be working with
12 states and other partners to be trying to make sure that
13 all the things that happened when screening continued
14 through that child's lifespan. Thank you.

15 COMMANDER MANNING: Thank you. And now I'm
16 going to turn it back over to Ned.

17 DR. CALONGE: Thanks Leticia. And so, I
18 think we've talked about in previous meetings, I wanted
19 to remind you that the National Academies of Sciences,

1 Engineering and Medicine is conducting a study examining
2 the current landscape of newborn screening systems and
3 processes. The research will also consider sustainable
4 adoption of screening for new conditions, using new
5 technologies.

6 The last open meeting was on May 16, 2024,
7 and the proceedings of that meeting are accessible
8 online, as well as other past open events. To stay up
9 to date with the study please use the QR code, which I
10 think is on a slide -- two slides from now. There it
11 is. And using that you can go to the website and keep
12 up with it.

13 The other things I would say is the NASEM
14 website, I'm active with NASEM, it's a wonderful
15 website. You can just put in NASEM, and then what
16 you're interested in, and it will come right up. Next
17 slide please.

18 I'll just say that the Health Resources and
19 Service Administration's Maternal and Child Health

1 Bureau maintains the Newborn Screening Information
2 Center website. In a future meeting we'll have a
3 presentation that will provide more details about the
4 NBSIC, and you can use this QR code to find out more as
5 well.

6 But you can see things that we have for both
7 parents and providers, why screening is important, where
8 and how it happens, what happens during the screening
9 process, and then information on how to understand
10 screening results.

11 A lot of people I think in this room have
12 contributed information that is summarized in this
13 information, and I want to appreciate those other
14 efforts, those other websites, and those other nonprofit
15 organization that have been doing this work for so long.
16 There will be ongoing updates to the website, and I
17 encourage you to check it out periodically.

18

ACHDNC Nomination and Evidence Review Process

1
2 DR. CALONGE: I want to pause and just give
3 an update on some condition nominations. Between May
4 and June we received two condition nominations, using
5 our new nomination process, which I'm going to discuss
6 in the next presentation. Metachromatic Leukodystrophy
7 completed the preliminary nomination in May, and the
8 full nomination package in June.

9 That's been reviewed, and we will discuss the
10 MLD nomination in-depth tomorrow, and include a vote.
11 In June we received a preliminary nomination for Biliary
12 Atresia. In September we received a nomination package
13 for Biliary Atresia, with the lead nominator for the
14 application, BARE, or BARE, Inc., which is the national
15 nonprofit organization that supports Biliary Atresia
16 research and education.

17 You are aware that Biliary Atresia is a
18 congenital liver disease characterized by the
19 obstruction of the extra hepatic bile ducts, and

1 impaired bile flow out of the liver. The Nomination and
2 Prioritization Workgroup reviewed the preliminary
3 nomination form and determined that it does not meet the
4 full requirements to move on to step two, which is
5 completion of a full nomination package.

6 Last week Leticia and I met with the Biliary
7 Atresia nominators to discuss their nomination, provide
8 some guidance on what we felt was needed to help move it
9 forward, and will be available in an ongoing method --
10 ongoing manner to provide technical assistance as
11 requested.

12 And then during the last meeting I would
13 remind you at the request of the nominators the
14 Committee paused the evidence review for Duchenne's
15 Muscular Dystrophy. Moving on to the May 2024 meeting
16 summary. I want to thank the Committee members who
17 reviewed the summary and provided additional edits, so
18 we have those comments, we're going to revise those,
19 distribute them tonight, and vote on them to adopt them

1 tomorrow.

2 To kind of give you a roadmap of today, we
3 have presentations on the revised nomination process,
4 and the revised decision matrix tool. Then I'm going to
5 summarize our prior presentations the Committee has had
6 to highlight research that is focused on lived
7 experiences.

8 We will have a brief presentation and
9 discussion on a method for a standardized reporting of
10 newborn outcomes, and after lunch we'll have our last
11 presentation today related to population-based
12 screenings for newborns and children. Tomorrow, we're
13 going to start the morning with public comments, then
14 we'll have a presentation from the Nomination and
15 Prioritization Workgroup on Metachromatic
16 Leukodystrophy, and we'll end the meeting with updates
17 from the Naming and Counting Conditions Ad Hoc topic
18 group.

19 So, that's a roadmap of what we're going to

1 try to get through in the next couple of days, and
2 again, I appreciate you being here and your
3 participation. So, if we could start by getting up the
4 first set of slides for the nomination and evidence
5 review process.

6 You remember that in November of 2023, we
7 paused the acceptance of condition nominations while we
8 made revisions to the process. We received feedback
9 from various stakeholder groups during small group
10 listening sessions in November of 2023, and from the
11 rest of the public through a Federal Registry notice
12 that closed in April of 2024.

13 We had a Committee discussion about the
14 process during our May 2024 meeting, and I wanted to
15 take time today to summarize the new nomination and
16 evidence review process. So, to give you some
17 background on how we got to where we are, we know that
18 nominations from different groups, and for consideration
19 for addition to the RUSP are critical to our work.

1 But we recognized in talking with the
2 nominators that there's challenges. They have a burden
3 in making compelling and comprehensive cases for adding
4 a condition to the RUSP, which requires a tremendous
5 amount of time and effort and resources.

6 We also know that we use a language that's
7 not normally totally accessible to all members of the
8 public, and so trying to figure out to translate how we
9 talk about evidence and newborn screening in a way
10 that's more approachable was another important issue.

11 So, our goal was to simplify the process for
12 nominators, and maintain a central role that nominations
13 have for evidence review, and our recommendation
14 process. The next slide please. So, we have feedback
15 from groups of five recent and current nominations.
16 We've discussed these at two previous meetings, a small
17 group listening sessions in November of last year, and a
18 large group discussion in January this year.

19 We had input from our former standing

1 workgroups, Follow-Up and Treatment, Education and
2 Training, and Laboratory Standards and Procedures. And
3 then we had public comment as I said, in respect to the
4 Federal Registry request for information between March
5 and April of this year. Next slide.

6 So, what we tried to do, and what we have
7 done in terms of making the process simple is to start
8 with a pre-nomination process. So the condition
9 nomination group would complete the preliminary
10 nomination form, which there's a link to on the slide
11 set, and can even be found on the Advisory Committee's
12 website, consists of the following four questions: Is
13 there a newborn screening test available? Is there
14 agreement about the case definition of the targeted
15 condition and diagnostic confirmation after a positive
16 newborn screen? Is there a prospective population-based
17 newborn screening project that has identified at least
18 one infant with the condition? And then lastly, can
19 identification of the target condition before clinical

1 presentation allow provision of effective therapy, and
2 improve outcomes for screen infants? So, those are the
3 four questions.

4 If there is a yes to each question, and on
5 review by the Nomination and Prioritization Workgroup,
6 there's agreement that yes is the correct answer, the
7 nominators are asked to submit --- oh, I'm sorry. In
8 addition to that they are to submit- one to three peer
9 reviewed references for each question with a brief
10 explanation.

11 This helps us understand whether there's
12 enough evidence to move to a full evidence review, but I
13 want to point out it doesn't replace a full nomination
14 package, or a full nomination review. So the idea is
15 that can we simplify the front end, and then provide
16 technical assistance in guidance to the nominators, and
17 then all with the intent of moving them closer to having
18 a packet that might be forwarded to full evidence
19 review.

1 I always like to pause and say full evidence
2 review doesn't mean the condition will be added to the
3 RUSP, it means there will be a full evidence review that
4 the Committee will determine in making its decision.

5 Next slide.

6 So, the N&P Workgroup is selected by the
7 Committee Chair, and consists of selected Committee
8 members. The Workgroup reviews a preliminary nomination
9 form, verifies it meets the four requirements to be
10 considered for review, and then the Designated Federal
11 Officer, Colonel Manning communicates the N&P's findings
12 to the nominators.

13 Nominators are encouraged --- Commander, not
14 Colonel, I'm sorry. Commander Manning. I just love
15 saying that, Commander Manning. Nominators are
16 encouraged to meet with the Committee Chair and DFO to
17 discuss next steps which may or- may not include the
18 completion of the full nomination package. Next slide
19 please.

1 Once the form is verified by the N&P
2 Workgroup, we then ask for the full package. Those
3 sections include the condition screening, impact of
4 screening, other considerations not captured in previous
5 categories, and a more extensive set of references.

6 Next slide.

7 The N&P Workgroup then reviews the full
8 nomination package, and creates a summary for the
9 Committee's assessment, shared with the Committee at a
10 Committee meeting, and then the Committee votes on
11 whether or not to move the condition forward to a full
12 evidence review.

13 So, let me just pause, and sorry -- I'll- use
14 MLD as an example. First of all,- I appreciate the work
15 with MLD in piloting this new process. We learned a lot.
16 There was a good dialogue back and forth with the
17 nominators that helped us do this. So, we got the
18 preliminary form. We reviewed it, we chose to move it
19 on to full evidence review.

1 We got the complete package prior to this
2 meeting. The N&P Workgroup has looked through it.
3 We'll do a presentation tomorrow, which we're looking
4 forward to hearing, and at that point the Committee will
5 vote for the next step in the process, which would be to
6 move or not move MLD on to a full evidence review.

7 At that point the topic goes into full
8 evidence review with our contracted evidence review
9 group, which puts together a Technical Advisory
10 Committee that includes members of the public, and
11 advocates for the condition, as well as Committee
12 members and other potential experts.

13 We go through a full review that's been
14 presented to this Committee where we make the decision
15 about whether or not to add it to the Recommended
16 Uniform Screening Panel. We'll talk a little bit about
17 that, the decision-making step today as well. Next
18 slide.

19 These are just the criteria for a full

1 evidence review, so these are the questions that are
2 included, and these are available to folks, and I don't
3 think I'm going to read through it today. Next slide.

4
5 **Committee Discussion**

6 DR. CALONGE: So, I'm going to pause, and
7 just open the floor for discussion from other Committee
8 members, other questions and other comments. We'll also
9 take comments from our organizational reps, but I want
10 to start with Committee members. Jeff?

11 DR. BROSCO: Just --- this is Jeff Brosco,
12 just one quick comment. As Ned described, we heard from
13 the five previous nominating groups, a huge burden for
14 filling out the long nomination form. So- the idea of
15 the two steps was it's relatively easy to do a quick
16 nomination if you just have the evidence, and get back
17 to you quickly, so you don't have to do the whole thing
18 if there's something that's not going to let you move
19 forward.

1 The concern was well, if we had a two-step
2 process is that going to make it so long that nomination
3 has to go through a lot more work. And I think in the
4 case of MLD, it didn't slow things down. And in fact we
5 were able from between May and tomorrow, to be able to
6 vote on that, so I think this worked about as well as we
7 can hope, but there's always this balance between how
8 much information up front.

9 And just for folks to know that for the last
10 decade, the N&P Workgroup, the N&P Advisory Group that
11 the Chair chooses, there's a lot of back and forth with
12 the nominators, and so this just makes it much simpler,
13 and gets to the key points sooner. So far it's working
14 well, but we're open to change I'm sure.

15 DR. CALONGE: I like the concept of continual
16 quality improvement. Thanks, Jeff. Ash?

17 DR. LAL: Thank you, and I do appreciate, and
18 I support the change in having a separate nominating
19 vote. I think to me, apart from the downside of

1 prolonging the process, but the main up side to me is
2 the preliminary review may identify certain areas that
3 require more focus for the full evidence review package,
4 and that could be tremendously helpful in eventually
5 keeping things in a reasonable timeframe of the back and
6 forth that may happen after the evidence review is being
7 done. Thank you.

8 DR. CALONGE: Thanks, Ash. Christine?

9 DR. DORLEY: Yes. So, it would be great to
10 hear from the MLD people regarding the use of the form,
11 how they found it to be user-friendly, or not user-
12 friendly, and what would be even more beneficial is if
13 you had someone who nominated a condition before with
14 the old process, and then they nominated with the new
15 process, what the difference is, and if they found it to
16 be any easier, just curious.

17 DR. CALONGE: So, great questions, and we
18 have actually, and we've talked with MLD a lot,
19 Christine, and everyone who participated in those calls

1 about the process, and how we can make it better. There
2 was a little back and forth about the issue about
3 explaining what the evidence is telling us, adding a
4 little bit more comments to help guide the N&P, the
5 preliminary review group.

6 I think MLD is the one condition who had an
7 almost completed nomination package ready to go, so I
8 think that was really good feedback to have, so that's
9 our one group that had both, an old process, nomination
10 ready to go, and the new one. And other than that
11 little, I think the pause, plus filling out the form,
12 they were ready to do it because they had all the
13 information on the four questions, and we'll have to
14 kind of keep asking people as we go forward.

15 I would say we pilot the process, continue
16 using the process for one or two more nominations, and
17 then go back and look at the process, see what works,
18 what didn't work, are we asking the right questions, and
19 then do revisions as we go forward.

1 Let me turn to the Organizational Reps, and I
2 think I have Natasha first.

3 MS. BONHOMME: Thank you, Natasha Bonhomme,
4 Genetic Alliance. For on the slide that talked about
5 the four questions, the third one basically being about
6 finding one child through newborn screening. Is that
7 U.S.-based? In the U.S.-based newborn screening
8 program, or can it be international?

9 DR. CALONGE: Thanks for the question,
10 Natasha, and it's not specified, so I would think that
11 either would be acceptable. And if I recall right, we
12 have accepted international population-based so, yeah.

13 M. BONHOMME: Right. I know it's been a
14 question in the past, that's why I bring it up. So when
15 this is put on the website will that be clarified, so
16 people know about that.

17 DR. CALONGE: We will clarify that. Thanks
18 for that recommendation. Amy?

19 MS. GAVIGLIO: Yeah, thank you, Amy Gaviglio,

1 National Society of Genetic Counselors. So, I think
2 there are potentially in the pre-nomination form two
3 areas where further clarification, somewhat akin to what
4 Natasha just mentioned would be helpful. I think the
5 first is the question around whether there's a newborn
6 screening test available.

7 Will the Committee examine tests that are
8 either in a non-dry blood spot matrix, or a point of
9 care, and if so, are there any additional components
10 that need to be addressed by nominators? And then the
11 second is also on the third requirement, and I think
12 given that the achievement of a perspective pilot
13 detection is likely to be one of the limiting factors
14 for most upcoming conditions, it would be good to be
15 much more clear on what exactly this requirement is
16 providing the Committee in terms of evidence.

17 And I think saying that it tests the system
18 is just simply not concrete enough, especially
19 understanding that to achieve this requirement we have

1 historically had to rely on data that has come about
2 from actually circumventing the RUSP process. I think
3 we should also acknowledge that the detection of a
4 single case in a single environment on its own really
5 doesn't test the system, as we know that each family's
6 experience with the system is really dependent upon
7 their own individual environment.

8 And so, I think if a single detection through
9 a prospective pilot is going to continue to be a
10 foundational requirement for a nomination, I would ask
11 the Committee to take the time to reflect on what
12 information that truly provides, and really be
13 abundantly clear about that to the public.

14 DR. CALONGE: Thanks, Amy. And we have in
15 the last two meetings extensively discussed this issue,
16 so I think adding a little bit more information about
17 the importance of it could be beneficial to the form.
18 And your other point was -- sorry, what was the first?

19 MS. GAVIGLIO: Just clarifying whether tests

1 that occur in a non-dry blood spot matrix or point of
2 care, those would be examined, or if anything further
3 would be needed from nominators nominating a condition
4 using something other than a dry blood spot matrix.

5 DR. CALONGE: So, this is another area of
6 ongoing discussion because we're looking at charging
7 states and newborn screening programs, usually
8 laboratories to do testing, and they don't have
9 strategies for point of care testing, and so trying to
10 decide a route for recommending universal screening for
11 something that doesn't involve a state health department
12 or state laboratory, is something that we're actively
13 discussing.

14 We have experience, you know, from that first
15 one from 2011, which I remember very well, which was
16 congenital ---- cyanotic heart disease, which requires
17 an onsite pulse oxy. And states have managed to
18 implement that in ways that don't require the laboratory
19 because the laboratories aren't onsite.

1 Hearing, of course, started that as well. I
2 think charging the state public health system with
3 figuring that out gives you 50 unique challenges, and so
4 we have started discussions, myself and staff, about
5 thinking about other approaches, especially the point of
6 care studies because the state laboratories do well,
7 very well, extremely well when they can test with the
8 dry blood spot. Thanks. Other questions? Yeah,
9 Michele?

10 DR. CAGGANA: Hi, Michele Caggana, Committee
11 Member. Yeah, following-up on that I think it's really
12 incumbent on the Committee and the community as well to
13 come up with a mechanism in order to also influence
14 pediatric care because the Committee is Heritable
15 Disorders in Newborns and Children, and so that was used
16 as the argument for some of the other conditions that
17 were a point of care, but I think we need to think
18 broader on how to make these recommendations outside of
19 newborn screening as well, and more on the pediatric

1 setting.

2 DR. CALONGE: I actually know what you're
3 going to say, Jeff, but I'm going to let you say it.

4 DR. BROSCO: I think what we're both going to
5 say is that it's part of what this afternoon's
6 discussion is about, to talk about what are some of the
7 other mechanisms for setting the standard of care
8 besides the public health laboratory being responsible.

9 DR. CALONGE: I'm sorry, Sue?

10 DR. BERRY: Thank you, Sue Berry for the
11 Society for Heritable Metabolic Disorders. We didn't
12 talk about this, and it's not included in this, but one
13 element in this that I think has major impact on public
14 health, and on the health of the children is access to
15 care for treatment for a screened disorder.

16 So that for example, if only six centers in
17 the U.S. are available to do a specific gene therapy,
18 and that's not going to be widely available in every
19 state, how are children who are on Medicaid in one state

1 going to be accepted for care in another state? And
2 that's a tremendous barrier, a huge source of injustice,
3 and lack of equity that we're going to have to address
4 somehow.

5 I don't know if the Committee is really the
6 venue for that, but it's a point of real sadness for me
7 to see that happen.

8 DR. CALONGE: Jeff, I don't know if you want
9 to make a comment?

10 DR. BROSCO: I think my comment is that this
11 might be a really good topic for a future discussion,
12 and we can think about who might be good presenters to
13 talk about how it's worked at other place because it
14 surely is not the first time that a child has needed
15 out-of-state treatment of some sort.

16 And so, learning what states have done,
17 what's been successful might be a good thing for this
18 group to think about.

19 DR. CALONGE: Scott Shone online?

1 DR. SHONE: Thank you. I wanted to go back
2 to Natasha's comment for clarification on the U.S.
3 population. So, historically when the recommendation
4 was originally made, I believe that it was perspective
5 data from a population similar to U.S., and I can
6 remember a conversation with Dieter Matern where he
7 acknowledged that he wasn't a demographer but was
8 pontificating on the proposed population that was being
9 discussed under the nomination. That's why this is sort
10 of engrained in my memory.

11 And so, I do --- so, what I want to say is
12 that I agree with Natasha that if that position is going
13 to change, that should be clarified quite clearly in
14 where -- on the Committee's notification on this, and so
15 it felt like when you said that no, we'll accept
16 international, that's a little different, and not as
17 nuanced as I think the Committee has said previously, so
18 I just- ---- I'm not asking you to articulate that now,
19 but I do think it needs to be clarified if the historic

1 language is being maintained, then that should be
2 clarified if it's going to be changed a bit. I would
3 appreciate that as well.

4 DR. CALONGE: Yeah, I appreciate that Scott,
5 and I think it actually gets to Amy's point as well,
6 that the information that we get from finding a single
7 case is that kind of tests the feasibility and
8 approachability of the system. And so, the setting in
9 which it occurs has to provide us with that information.

10 And so that has those nuances about what was
11 the setting. From my standpoint, from the population
12 issue, the other things that could change would be the
13 prevalence, or incidents of the condition, which we take
14 into account in other ways, and so I think the issue
15 about understanding how it can be done, and is going to
16 be done through a newborn screening system similar to
17 that in the United States is the information that's
18 important, and I think we can clarify that. Scott, I'm
19 sorry Jeff?

1 DR. BROSCO: Jeff Brosco, and just to
2 clarify more generally that the moving to a two-step
3 nomination process and changing the questions a little
4 bit was not meant to change in any way, shape or form
5 the criteria, right, it's still the same that we've been
6 doing, but trying to clarify.

7 So, it's not a change in the population, or
8 in anything else as far as I can tell.

9 DR. CALONGE: Debra?

10 DR. FREEDENBERG: So, in considering
11 treatment of the conditions that are detected, and kind
12 of expanding the thought process about that, I think
13 there needs to be some consideration of the unique
14 conditions that are being identified, but also the
15 extraordinary cost of treatment of these conditions, and
16 you know, Sue brought up the question of equity, is
17 rather large.

18 And, you know, part of what I'm referring to
19 is the cost of gene therapy, which you know, who bears

1 that burden? Where is that responsibility to make
2 certain that it's equitable and available to all? And
3 also, from the pediatrician standpoint, you know, what
4 is going to be their role in expanding the full-scale
5 care of children with these conditions?

6 DR. CALONGE: Thanks, Debra. Melissa?

7 DR. PARISI: Melissa Parisi, NICHD. I just
8 had a comment about the recent discussion around
9 ensuring that the one identified individual, newborn, is
10 actually from a country or a setting that is similar to
11 the U.S. While I agree that having it be a similar
12 newborn screening setting, I would certainly not expect
13 that the diversity in the United States needs to be
14 replicated in another pilot program in another country.

15 For example, if a condition is more prevalent
16 because these are usually recessive disorders, in a
17 Scandinavian country, or an Asian country, I mean it
18 would be ideal for those pilots to be done in those
19 countries where they're more likely to pick up an

1 affected individual given that our bar is so high of
2 expecting at least one identified newborn, so I just
3 wanted to make that point, thank you.

4 DR. CALONGE: Thanks, Natasha?

5 MS. BONHOMME: Great, thank you. Natasha
6 Bonhomme, Genetic Alliance. Kind of building on some of
7 the comments that have been said. When we've spoken to
8 groups who have gone through the nomination process, so
9 often it hasn't necessarily been just the form that has
10 been a lot, but really getting to that point, to really
11 building that evidence as Amy said.

12 That that's really what's been burdensome,
13 and some could say not equitable, because different
14 groups have different resources and things like that.
15 So, I guess I'm just wondering thinking of the next
16 phase of this broader conversation of making the
17 nomination, I want to say process, because I don't want
18 it just to be the forms, but you know, the activity of
19 nominating a condition as accessible as possible.

1 You know, what is the Committee thinking in
2 terms of helping, which can means lots of different
3 things, organizations and groups who want to be able to
4 develop that data, and to develop that evidence base.
5 It is more conversations with NIH, who has oftentimes
6 supported pilots? Or is it not seen as within the
7 purview of the Committee to help because I can't think
8 of another word, organizations before they get to the
9 point of being able to fill out that form?

10 Just trying to get a better sense of that,
11 that bigger picture.

12 DR. CALONGE: Well, I can speak as a Chair,
13 and less as a Committee for this particular issue. I
14 think the Committee has the resources it has, and then
15 we have the time to commit to the process we have. And
16 we have a defined purview. I think moving from our
17 position of evidence receivers, nomination receivers, to
18 evidence creation, I don't currently see within the
19 purview of the Committee.

1 We do work actually well with both our
2 partners at NIH and CDC. I just want to make sure I put
3 CDC in there because they've been a good funder and a
4 good partner in the area as well. And they listen, and
5 they make contacts as well. We would need to talk I
6 think extensively with HRSA and leadership about whether
7 or not we wanted to extend into being more purposeful,
8 or a bigger part in that process of evidence creation.

9 MS. BONHOMME: Yeah, and just to add to that,
10 I guess I wouldn't even say necessarily the creation of,
11 but just the support of, which can come in lots of
12 different ways, which I do think the Committee has done,
13 and yeah.

14 DR. CALONGE: I think we can do that, yes.
15 Yeah. Appreciate the conversation. We'll take these
16 comments back. We've had some good suggestions about
17 clarification on the form, clarification on the process,
18 and we'll move forward. Appreciate that. And again, it
19 will be in the meeting notes, but the idea that we might

1 take two or three conditions through the process and
2 then re-examine it for continued improvement.

3 Not that we won't make changes as we go along
4 because we learn with every nomination, but make sure
5 that we take an appropriate look after we've done it a
6 couple times.

7
8 **ACHDNC Decision Matrix Tool: Public Health System**

9 **Assessment**

10 DR. CALONGE: So, at this point I'd like to
11 move on again to the decision matrix and the next slide
12 set.

13 Hopefully, a lot of this you will have seen
14 before. Next slide. Remember that the matrix tool is
15 to support decision making. It doesn't make decisions,
16 so it's not rote, it is not formulated, it's supposed to
17 help the Committee think through the evidence and
18 support our decision and voting on whether or not to
19 add, or not to add a condition to the RUSP.

1 Next slide. Here is a draft that we would
2 like to vote on today, and you've seen it at least
3 twice. There's a top part, which is just a description
4 of the letter grades. There's a letter grade
5 description and action in the middle piece, and then a
6 public health impact assessment at the bottom.

7 The public health impact assessment is
8 required by statute, which is why it appears on the
9 matrix. Next slide. To review the top piece,
10 conditions with eight designations will be forwarded to
11 the Secretary with the recommendation to add to the
12 RUSP. Conditions with the B designation may be
13 forwarded to the Secretary with the recommendation to
14 add to the RUSP after discussion and a separate vote.

15 Conditions with a C designation will not be
16 forwarded to the Secretary, but evidence gaps will be
17 identified and shared with the nominators, and
18 conditions with an I designation will not be forwarded
19 to the Secretary, but, again, gaps will be identified

1 and shared with the nominators.

2 Let me just pause and say if you are a B
3 designation, and isn't forwarded, those also will be
4 discussed in terms of evidence gaps with the nominators.
5 Next slide. So, turning to the B designation, which is
6 probably the most substantive change to the matrix for
7 the top part, based on assessment of the magnitude of
8 net benefit, and the certainty of net benefit, the
9 Committee will vote to assign a B designation.

10 There will then be additional discussion of
11 the evidence and assessment of the anticipated impact of
12 adding the condition in terms of the individual family
13 and public health benefit, so then the Committee would
14 take a separate vote on whether to recommend adding the
15 condition to the RUSP.

16 This separates agreement on the evidence from
17 agreement that the condition should be added to the
18 RUSP, and I think will be the source of very vigorous
19 conversations. I want to just review that B rating

1 talks about moderate certainty, and in the certainty
2 world as you look at grade, and you look at the USPSTF
3 and the AHRQ's process, moderate evidence means that
4 we're --- there is a chance that future evidence could
5 come up with- a different decision.

6 There's a change that future evidence will
7 just confirm the certainty, and so that moderate
8 certainty means it's in that kind of gray area where we
9 can't move it into an A recommendation based on some
10 kind of gaps in the evidence, and uncertainty that
11 future research could fill in in one way, moving up to
12 an A, or another moving it down to a C. Next slide.

13 So, we have two areas of judgment, certainty
14 of net benefit, and magnitude of net benefit. Again,
15 certainty of net benefit has well-established
16 approaches. When you look at those sources I talked
17 about they actually talk about the certainty of the
18 evidence in terms of risk of bias, precision, size of
19 the effect, coherence, a lot of criteria that go into

1 the kind of evaluation of clinical trials evidence, and
2 definitely have been used in the screening world by the
3 USPSTF and the Canadian Task Force on Preventative
4 Services for a number of years.

5 The magnitude of net benefit is more complex,
6 especially in setting the levels of new benefit,
7 substantial net benefit, and what's moderate net
8 benefit. And the net benefit means we have considered
9 the harms and the magnitude of the harms in the context
10 of the benefits and the magnitude of the benefits, and
11 it's like a virtual subtraction of the two trying to say
12 that overall we think there's a lot of net benefit, or a
13 moderate amount of net benefit.

14 And, that is an experiential discussion for
15 the Committee as we go forward, and that's why you have
16 votes that are not all or that are not unanimous in one
17 direction or another, and it's one of the important
18 reasons why the varying experience of the people on the
19 Advisory Committee is so important in decision making.

1 Next slide please.

2 So, we've agreed on that matrix, that part,
3 the top part, and now we've been working for the last,
4 oh three or four meetings on the public health impact
5 assessment. Next slide. So, this is what we're
6 proposing today from a process standpoint. I want to
7 point out that we're --- we- want to gain experience
8 with doing this, and so while I'll outline a way to do
9 it as we actually implement it, we may make some tweaks
10 to it going forward.

11 But we would, as an Advisory Committee,
12 initiate the assessment process when we vote to move a
13 topic to the evidence review group. We would then
14 survey pilot states and take those results to distribute
15 to all other states. The survey should represent the
16 diversity of state population size and overall newborn
17 screening resources, so that we have an accurate look at
18 the impact. Next slide.

19 The pilot state survey, next slide, would

1 include current ERG and APHL methods, so we already do
2 this as part of evidence review, APHL has done it for a
3 number of years, and we're going to kind of piggyback on
4 those methods.

5 They will include questions regarding
6 screening testing first in higher tier, confirmatory
7 testing, diagnosis and first year treatment. Next
8 slide. For every area the questions will cover whether
9 -- what new equipment, staff, and medical expertise was
10 required with estimates of costs involved focusing on
11 what we call reasonable ranges.

12 Next slide. So, the most important metric is
13 the ease of implementation, and we've had really good
14 discussions that that isn't covered by estimating costs.
15 Costs will vary by state, costs will vary by existing
16 relationships, and contracts, and that the most
17 important thing is how hard was it to implement.

18 But costs will be useful for other states
19 contemplating screening implementation. What will they

1 need? More than how much it will cost. What access
2 exists to treatment, and how it's worked in other
3 states? We still feel cost estimates and opportunity
4 costs are likely to provide useful information as we
5 look at the required level of effort, and we also know
6 that the ERG does use cost estimates in their evidence
7 review and presentation. Next slide.

8 So here's an example of a pilot test report.
9 You see on one side just the testing issues, what
10 equipment, staff and expertise was needed for first tier
11 and higher tier testing, what expertise and availability
12 was available for diagnosis required for treatment in
13 the first year.

14 Then an estimate of costs for implementation
15 and ranges, caveats, including comments on opportunity
16 costs, and then pilot results, the total tests, positive
17 tests, and confirmed cases. And then finally I expect
18 there to be a long dialogue about issues in
19 implementation that might be useful for other state

1 laboratories to look at in the next survey.

2 Next slide please. So, the state's survey,
3 next slide, asks a series of questions. If the
4 condition is added to the RUSP, what resources or
5 additional support would you need to implement within
6 two years, like external support for start-up,
7 regionalization agreements and others. I have a lot of
8 comments that these will be the same supports and issues
9 as will the next slide, that we already know, however,
10 we think this information will be useful to the
11 Committee, and useful to the Secretary as we think about
12 implementation.

13 If you could not implement within two years,
14 what would be the barriers? Are there competing
15 priorities, such as implementing other RUSP additions?
16 Are there other state laboratory priorities? Are there
17 funding, staffing and policy challenges, and other
18 things that states might identify as being barriers in
19 the first couple of years, next slide.

1 And then question three is, what is your
2 estimate of the effort required for implementation
3 within three years? So, here's an area where we really
4 want to get experience, and we want to talk to state
5 laboratories, and flush out the anchors for making these
6 judgments. So, we estimate the required effort will be
7 low, so it could be that low means minimal costs, little
8 or no new equipment or staff or expertise, and the
9 treatment is readily available.

10 We have had a comment from one of our ad hoc
11 topic group members that there will be no easy
12 additions, but we want to put that on the list. We
13 estimate the effort will be moderate, that is there will
14 be significant costs, or new expertise for testing, or
15 new equipment, or new resources for referrals that will
16 be required. And number three was effort will be high,
17 substantial new investment necessary, and staff
18 expertise, and referral resources.

19 And these are unlikely to be available within

1 two to three years, next slide. So, that's the end of
2 the presentation.

3
4 **Committee Discussion**

5 DR. CALONGE: This is the complete decision
6 matrix draft that I would like to open for discussion
7 first with Committee members, then with the nomination -
8 - with our Org Rep individuals, and then at the then of
9 that discussion, hopefully move to a vote for adoption,
10 starting with Committee members. Christine?

11 DR. DORLEY: I'll make a comment as far as
12 laboratory is concerned. I think in adding any new RUSP
13 conditions if they're not FDA-approved assays to go
14 along with that, then everyone is going to report from
15 the public health impact assessment that the ability to
16 add will be high from the standpoint of the new FDA
17 regulations, and the costs that would be associated with
18 just bringing on a laboratory developed test.

19 So, just throwing that out there, when we

1 make these decisions to add a disorder, that there would
2 be some type of consideration to if the test is FDA-
3 approved, that can be readily adopted in the laboratory.

4 DR. CALONGE: I appreciate that, Christine,
5 and if you have the opportunity to go back and look at
6 the full nomination package, that's one of the questions
7 asked. Is there an FDA-approved test? Is there an FDA-
8 approved treatment? So, those are two issues, and I'm
9 glad you brought that up because as we've learned about
10 the implementation of the laboratory developed tests,
11 that there are new challenges and new barriers that will
12 come from that, thank you. Ash?

13 DR. LAL: I have two comments or questions.
14 So for the B grade, I think that's -- I'm sure is on
15 everybody's mind how that would be adjudicated
16 eventually. So, one of the things that could be more
17 than one factor why a vote is a B, or assignment is a B,
18 if it is so, is there a subset of conditions that get
19 assigned a B to qualify for an expedited review, that's

1 one consideration?

2 There is some circumstance, or some
3 information that is likely to become available, or so
4 could that be a recommendation instead of having to have
5 a vote on whether or not to recommend this to that?
6 That's one comment.

7 And the second, which is maybe more of a
8 concern because we haven't gone through the process, and
9 this potential is that the overlap between B and I.
10 When you say that there's insufficient evidence, or
11 there is moderate evidence of benefit, I think that the
12 distinction, I'm not totally clear between the two when
13 because between the low certainty and moderate
14 certainty.

15 And that's the area where --- but getting
16 assigned --- I seem to have more severe percussions to
17 the package, compared to getting a B. And we would
18 probably have to be very careful as to where in the
19 spectrum we place the certainty level at, that to me is

1 a potential concern, maybe not having gone through the
2 process.

3 DR. CALONGE: Thanks for your comment. I
4 actually think they're related, so the idea is that if
5 you're --- the judgement is, these are judgements, so
6 you're at that B level, and the judgement is uncertain.
7 Uncertainty comes from having an evidence gap, and so if
8 it's an evidence gap that could be filled in by ---
9 within a year, by researchers or the nominators, then
10 that would be a candidate for an expedited review, which
11 is a decision that the Committee could make.

12 So, I think those are --- we don't specify
13 that in the matrix, and maybe we could include that in
14 the kind of notes that if you get a B, that would be a
15 candidate. Because if you're a B and you move to a C,
16 that's different. That means pretty sure that, you
17 know, that this isn't going to come up, so that actually
18 is a little bit worse. If you go down to an I- it means
19 there's an evidence gap and we're just uncertain.

1 So, I think we can make that, and then
2 perhaps what I should do is provide at least the reading
3 list, and then maybe even a presentation on the
4 assessment of certainty, and we can do that because
5 there's a lot written about it. I can't, it's --
6 remember that word judgement, and I always come back to
7 this. People can look at the same body of evidence and
8 make a different decision on certainty.

9 And that's why as I said, votes are rarely
10 unanimous, but not never. And it really has that, you
11 know, do you feel that the evidence meets all of these
12 criteria in a satisfactory way? I think the task
13 force's levels are convincing, satisfactory, and
14 unsatisfactory, so there's a whole process for that
15 certainty, but it ends up with a judgement looking at
16 the evidence.

17 So, we'll provide that information, and we'll
18 do a presentation if that's okay at our next meeting.
19 Melissa, I think you're next.

1 DR. PARISI: Melissa Parisi, NIH. So I guess
2 I'm a little bit uncertain, and I think I might have
3 raised this at a prior meeting as well, who is making
4 the determination of these B and C, A, B and C, and I?
5 This is the ERG?

6 DR. CALONGE: No. This is us. This is our
7 discussion.

8 DR. PARISI: But it's --- so, does that mean
9 then that, I mean you said that all B's would be
10 discussed, but it sounds like it implied that there was
11 not going to be discussion of a C designation. I guess
12 the process- is unclear.

13 DR. CALONGE: No. If we say a C, that's at
14 least moderate certainty of zero, small or net benefit.
15 That's a decision we would make. We would assign a C.

16 DR. BROSCO: May I jump in?

17 DR. PARISI: Yeah, please go ahead.

18 DR. BROSCO: Part of the confusion I think is
19 that if you look at our current decision matrix, a B

1 does not go to the RUSP, does not get recommended, and
2 that has not been our --- what we've been doing, because
3 that's- been our practice for the last ten years.

4 So, this idea is there is discussion about
5 all of them, we discuss all of these, but a B or A grade
6 is yes, we're commending A equals, we recommend to the
7 Secretary. In the past, what our decision matrix said
8 was a B or C we don't, and yet this Committee has fairly
9 often said a B, yes or no. So what we've done, we
10 haven't changed the criteria per se, we just update it
11 to match what we've been doing the last ten years.

12 There's a discussion about all of these, this is graded
13 by this group, but a B may or may not be recommended to
14 the Secretary for inclusion in the RUSP.

15 DR. PARISI: Okay. And I guess kind of as a
16 follow-up to that, I think it might be worth clarifying
17 that because when you just read through the slides, it's
18 sort of that did not come through that there was
19 actually discussion around A, B, C and I. And then

1 just, you know, a final thought, which I know I've made
2 this point before.

3 I think there's a big difference between a
4 negative magnitude of net benefit, which is actually a
5 harm, and zero or small. And small, of course, as you
6 just said, Dr. Calonge, it's, you know, very much a
7 judgement call in terms of, you know, the survival of a
8 child for some individuals is a significant net benefit.

9 Some other people might think of it as small,
10 and so the lumping of zero, small or negative into that
11 C category is still somewhat problematic for me at
12 least, thank you.

13 DR. CALONGE: Yeah. There is another
14 category called a D, and for simplicity sake I figured
15 that we should drop it off because if it's so small, if
16 it's not --- if it's more than small, then we're going
17 to put it in a B, and that will be a judgement
18 call. -And trying to figure out that cut-off is
19 difficult, so I wanted to have a B discussion.

1 So, I mean we could expand it, and I guess my
2 recommendation is we not, unless it becomes a problem.
3 And I'm uncertain it will become a problem, but I
4 understand your issue. I thought about it a long time.
5 I think currently the USPSTF gives those C's as well,
6 but a D goes to the absolute harms, and so we just kind
7 of collapse it. Michele?

8 DR. CAGGANA: Michele Caggana. Just a couple
9 comments. So, I feel that in the past after the
10 evidence review makes their presentation, that they do
11 put up this matrix with a suggestion, so that process
12 will continue, and then we will discuss to come up with
13 sort of the final designation. Is that what you're
14 seeing as the process?

15 DR. CALONGE: Yes.

16 DR. CAGGANA: Okay. I just wanted to
17 clarify, sorry.

18 DR. BROSCO: Can I just clarify that for a
19 second?

1 DR. CAGGANA: Yes.

2 DR. BROSCO: So the ERG makes a presentation
3 of the evidence. The Committee Members who are liaisons
4 to the ERG, they're the ones that make the
5 recommendation about A, B, or C or I. So, the ERG is not
6 making recommendations about this, it's the Committee
7 members, the liaisons that make the recommendation.

8 DR. CAGGANA: Yeah.

9 DR. BROSCO: And it's their recommendation,
10 but then the Committee votes on it.

11 DR. CAGGANA: Yeah, okay. Because in the
12 past I thought that they did show the matrix at the end
13 of their presentation.

14 DR. BROSCO: No. They do show the matrix,
15 but I just want to be clear that the recommendation is
16 not coming from the ERG.

17 DR. CAGGANA: Right, right. Yes.

18 DR. BROSCO: That's all.

19 DR. CAGGANA: Okay. And then the --- so, I

1 think the D is just, we're just going to have to try it
2 out and see how it works, and how we discuss it. And
3 then the last clarification was going back to Dr.
4 Dorley, on the nomination package, the issue about an
5 FDA approved test is really talking about the
6 confirmatory and diagnostic, and not necessarily the
7 screening test, which is what the newborn screening
8 programs will be ---- will- have to, you know, answer
9 to.

10 And so, I think it is kind of important that
11 we make sure we make that distinction during the
12 nomination, and understand that that's going to be a
13 tough piece of this as we go forward for the public
14 health assessment as well.

15 DR. CALONGE: Thanks, Michele. Ash?

16 DR. LAL: I just wanted to follow up on
17 the -- so looking at the distinction between the C and
18 I, is whether it's a question of certainty, or whether
19 it's a question of magnitude of benefit, if I get the

1 sense of the categories here.

2 So, following up on the comment that if you
3 want to avoid negative language, the C designation gives
4 moderate certainty of less than moderate benefit. It
5 could be reframed in some way. But that's probably what
6 they're trying to say that it be moderately certain that
7 the benefit is not even moderate, it's less than
8 moderate.

9 Because we don't --- so that could be either
10 a small, or it could be zero, it could be negative, but
11 one could consider changing the language to ensure it
12 doesn't come across like that. And then the I is then
13 not the magnitude, but it's- on certainty.

14 DR. CALONGE: Yeah. I understand what you're
15 saying. I get it. Chanika?

16 DR. PHORNPHTKUL: So, for the public health
17 impact assessment, the lower table, I think it's great
18 that we put it up there. From what I recall from Dr.
19 Talalay presentation that that number is very high, that

1 most states can't implement it in two years, and perhaps
2 that would be something that you know, people can use
3 that as, what can we do to make sure that they can have
4 enough resources for them to help?

5 Because I feel that, you know, two years is a
6 very short time for most laboratories to implement
7 anything that's recommended, but I'm not in the lab, so
8 I don't know how you feel about it.

9 DR. CALONGE: So, I appreciate that comment.
10 If you go back and look I think at the second question
11 it's like, you know, the first and second questions are,
12 you know, what are you going to need, and what are the
13 barriers to get there? The two years we went back and
14 for in, and it was actually based on the median in
15 states that have - what is it, requirement loss?
16 Alignment loss, so that's where we got the two years
17 from.

18 So, for those states, we were trying to make
19 sure those states had a voice in saying can we do this

1 in our alignment law issue because I thought that would
2 be important. And actually, I like your suggestion. I
3 have no issues, unless the Committee does, in changing
4 that to at least moderate certainty of less than
5 moderate net benefit. Okay. Melissa?

6 DR. PARISI: So, I have a question about the
7 public health impact assessment. It feels to me like
8 just having those three categories does not capture the
9 nuance of what may exist for many of the states, and so
10 also like Chanika, I was concerned about the two years.
11 I'm wondering if there is also the option of asking five
12 years, two years and five years?

13 I know that's a little bit of trying to, you
14 know, anticipate the crystal ball, but it feels to me
15 like that's a little more realistic in terms of what
16 some states are capable of. Oh, I'm sure Jeff will have
17 something to say about that. But then, really my
18 question is it seems like the effort required is going
19 to vary depending on whether a state has received

1 funding to support the addition of new conditions,
2 either through some of the HRSA funded Propel, and Co-
3 Propel grants, or some of our NIH-funded pilot studies.

4 And that's not captured in this kind of
5 simplified chart, and in addition, you know, it just
6 seems like there's so much more nuance around whether or
7 not a state also has RUSP alignment legislation that is
8 dictating that when something gets added to the RUSP
9 they are required to bring it on within a certain period
10 of time.

11 So, I just wonder whether having these gross
12 percentages is really going to be meaningful, given the
13 fact that there are so many nuances that underlie a
14 state's ability to make a public health impact
15 assessment, those are my thoughts.

16 DR. CALONGE: Pretty much putting anything on
17 the matrix is tough, and clearly B-1, B-2, A-1, A-2,
18 didn't work, and so we are trying to think of something
19 to meet the statute that might actually be something

1 that the Committee could look at. Not that we would
2 say, or we might, if 48 states say there's no way we can
3 do this within two years, that may be something that we
4 would want to consider before we vote on starting the
5 clock, especially for states with alignment.

6 And it won't be the only information that we
7 have. We'll have the answers to the other questions,
8 but in terms of putting it on one page, and having a
9 matrix that meets the requirement of the statute, this
10 is what we -- after three meetings of trying to figure
11 out what we could put on the matrix, this is what we
12 thought would be best. Jeff, I'm sorry?

13 DR. BROSCO: Just to say that you're
14 absolutely right, Melissa. And as Ned said, we've had
15 multiple workgroup meetings with laboratorians, and
16 followed folks, and there's just -- there's not a way to
17 do this easily, but it needs to be there, and you have
18 noticed a switch before it said how many years will it
19 take, and the response was well, it often depends on how

1 much resources I have.

2 And so, given the RUSP alignment legislation
3 and saying you know, this needs to be done in 18 months,
4 two years, three years, we chose two for the reasons Ned
5 said. And I would just give you an example. Imagine
6 it's 2019, and I said to you how many years will it take
7 to develop messenger RNA vaccine, get it to every single
8 person in the United States?

9 You'd say ten years, 15 years, if you said
10 nine months, you'd say that was absurd. There's no way
11 you can do that in nine months, and yet if you put
12 enough resources into something you can. So, the idea
13 was to sort of flip the question around and say well, if
14 we had to do this in two years, what would it take?

15 And what we learned from our friends is that
16 there are 50 different answers, and this is not meant to
17 be the --- you're not meant to remove information. The
18 Committee would still have a lot of information about
19 stuff, and a lot of discussion, how much it helps the

1 Committee make a decision about something, you're right,
2 it's probably not- the most important thing.

3 DR. PARISI: Could I just suggest then adding
4 the number of states that were part of the survey
5 process, so that we understand what the denominator was?

6 DR. CALONGE: You bet. Let me get back to
7 that issue. We want 100%, that's our goal, and at least
8 be representative of the spectrum of states from a
9 resources and capability standpoint, yeah. Jannine?

10 DR. CODY: Jannine Cody, Committee Member.
11 For back to the resources thought, now that you've been
12 through this new multi-step process, is there a capacity
13 among the staff here to do this on an ongoing basis, or
14 have we slowed down the process because there's not
15 enough capacity on the HRSA staff side to really
16 accomplish these goals?

17 DR. BROSCO: So, I think it's important to
18 point out that Ned presented a way of doing this. You
19 know, serving the pilot states, and then serving all the

1 other states. Right, so just to say that how that
2 actually happens, you're right, is going to depend some
3 degree on HRSA resources, and a whole bunch of other
4 things.

5 You know when people say you can't survey
6 more than nine people without, you know, in the
7 requirements, so a lot of things have to be figured out
8 in the process. The key thing for I think the Committee
9 is to vote on whether this overall concept, you know,
10 how much is required, what are the resources necessary
11 to implement in two years? That's what the Committee
12 needs to sort of focus on.

13 DR. CODY: Well, that was part of the
14 question, but the other part was this whole thing. Now
15 that we've been through this multistep process with MLD,
16 I mean did that put a huge burden on the staff here that
17 if there's multiple conditions coming through does the
18 process slow it down for the groups?

19 DR. BROSCO: The rate and extent for that is

1 really the evidence review, and what the research that
2 is available for the evidence review group, so I think
3 our team is strong. We're good.

4 DR. CALONGE: It put additional strain on the
5 Chair.

6 DR. CODY: That's apparent, actually.

7 DR. CALONGE: That's why I get the big bucks.
8 I think the other thing I would have to say, Melissa, is
9 that we reached the bottom part of the matrix that was
10 the least objectionable to all of our stakeholders from
11 the state laboratories, so that it was interesting.

12 We did not come up with what's perfect, but
13 we came up with something that we could say, this might
14 be useful, and we think we can get this information, and
15 now we'll see. Let me turn to our Organizational Reps,
16 oh, Michele, I'm sorry.

17 DR. CAGGANA: That's okay. I think
18 commenting on what Dr. Parisi said, I think another
19 thing that might be helpful in the discussion, not

1 necessarily in the matrix, but when we're thinking about
2 this is not only the N of states that responded, but the
3 number of babies that will be covered by those
4 responding states as well, so you can an assessment on
5 the impact across the entire country, so maybe as part
6 of this we can capture that as well.

7 DR. CALONGE: Great. I like that. I just
8 want to make sure Jennifer Kwon didn't have any
9 comments. Thanks. All right. I apologize, I don't have
10 the order, but I'm just going to go. Let's start with
11 Amy.

12 MS. GAVIGLIO: Thank you, Amy Gaviglio,
13 National Society of Genetic Counselors. So, the public
14 health public impact assessment process seems to hinge
15 on there being a universal pilot state within the U.S.,
16 and I wonder what the plan will be in the absence of
17 such a U.S.-based pilot.

18 And then, I also wanted to affirm what Dr.
19 Caggana said with the new nomination. There are

1 actually no questions that are explicit around FDA-
2 approved kits for screening, diagnostic tests or
3 treatment, and so if that's going to be something that's
4 taken into account, that should be added.

5 DR. CALONGE: Sorry, Jeff?

6 DR. BROSCO: Oh. Yeah, I think what we are
7 going to do is add that to the notes for the second step
8 of the nomination process. I think it's going back to
9 our previous discussion.

10 DR. CALONGE: Great question about our
11 ability to serve international programs, and we're just
12 going to have to try it, thanks. Natasha?

13 MS. BONHOMME: Natasha Bonhomme, Genetic
14 Alliance. I have a couple of comments, or questions.
15 So first in regard to the public health impact
16 assessment, I would encourage a re-naming of that to
17 public health lab, or public health lab and program
18 assessment because that is where the deep dive is done,
19 and not on all the other components of public health

1 around newborn screening.

2 In terms of, you know, we're not screening
3 just to screen, but really looking at that, you know,
4 the impact on the child and family, which I know we will
5 talk about later on. You know, there's no inquiry into
6 the cost of from the maternal and child health system
7 beyond the program, which I'm not
8 saying we should. I'm just kind of highlight that it's
9 not public health, it's really --- Jeff you are looking
10 at me super confused, so hopefully I'm- making sense to
11 someone in here.

12 So, it's just clarifying that labeling of it,
13 that I just encourage someone who likes to get as much
14 clarity as possible. Next is really building on what
15 Dr. Parisi and Dr. Caggana mentioned, you know, we
16 always know different states have different means, but
17 you know, I think it's over 20% of states have some type
18 of RUSP alignment legislation that does come with
19 various form of fee structures and things like that.

1 And I also believe that that actually covers
2 50% of the babies born, so it is a large portion, and so
3 I think having the denominators when we are having the
4 discussion would be really helpful in the survey. And
5 lastly, I also appreciated Dr. Parisi's comments about
6 splitting C.

7 You know, when this information goes back to
8 patient advocacy organizations, and the researcher doing
9 this work, they really do take the words of this
10 Committee seriously and strongly, so having that sense
11 of the difference between, you know, least moderate to
12 negative net benefit I think could have a real impact on
13 those groups, thank you.

14 DR. CALONGE: Thanks Natasha, Sue?

15 DR. BERRY: Sue Berry for the SIMD. I'm
16 coming back to the public health impact, which is sort
17 of down at the bottom, and sort of --- I understand why
18 it fits the way it does, but one of the things you
19 mentioned in there was to have states assess the impact

1 of follow-up and treatment. And I'm uncertain that
2 states really have a great handle on the impact of
3 long-term follow-up, and that they really have access to
4 the complexity and details that are going to be involved
5 in the treatment.

6 I know that when we've gotten sort of this
7 kind of --- we've had surveys like this, and in my state
8 they always turn to me and say well, what do you think?
9 And I go well, who is going to pay for the transplant,
10 you know, things like that. And you know, it's- going
11 to be difficult for states, particularly larger states,
12 to be able to get a fair assessment of that, and for
13 smaller states to even know if the resources exist.

14 And so, I'm not sure how that impacts your
15 decision, your overall decision, which I think we should
16 pay more attention to, so dropping the same question out
17 to you again. Thanks.

18 DR. CALONGE: Yeah. It's a great point. I
19 only know how we do it in Colorado. We have close links

1 between our state laboratory newborn screening program
2 and the Children's Hospital, so when we are looking at
3 adding Krabbe, which even though I'm in the State Health
4 Department, the lab never asked me about it.

5 They just asked Children's. It was
6 independent. They were watching the Committee's work
7 going forward and starting to explore already what we
8 would need to do. They did the same thing with
9 Psychosine. We can't do Psychosine, we're going to have
10 to figure out how to do that regionally before we
11 implement it, and those are things we have to work
12 through.

13 So, they're already thinking, but they think,
14 at least our folks think all the way through the process
15 and capitalize on existing relationships. In a large
16 state where there are multiple centers, I think there
17 will be other challenges, but Michele, you worked
18 through this in what I think is a not large
19 geographically, but huge from a population standpoint,

1 and I have to wonder if you think these are questions
2 that your colleagues in other large states can answer?

3 DR. CAGGANA: I think a lot of it depends on
4 what their experience is, and how they've actually
5 carried it out. Different states have different
6 mechanisms for funding, and whether there's a fee
7 structure or not, whenever we want to add a new
8 condition to our panel we reach out to our specialists
9 and say what do you think about this?

10 And make sure that we have their input and
11 buy-in before we actually do move forward. But I think
12 the questions that are on the pilot survey, they are
13 pretty answerable for the states that have the
14 experience. It might be ---- and- I think if states
15 know, I think we had this discussion, but I think if
16 states sort of know what they might need, they kind of
17 can understand what it's going to take.

18 I think maybe instead of issues for
19 implementation, it might be considerations for

1 implementation, that one category, and you know, based
2 on our experience, and how we do things, which we share
3 with people, you can sort of get an idea of what you're
4 going to need in your situation, so I think it's doable.

5 DR. BERRY: The corollary to all of this is I
6 think it assumes that the -- somebody is going to pay
7 for all of this, and that they will know how that
8 happens. And I would assert that that is a very
9 difficult thing to determine.

10 DR. CALONGE: All right. I know that's true,
11 and I think one of the issues you already brought up,
12 one of the issues was transplant, and if I'm in a state
13 that doesn't have a transplant center, and my Medicaid
14 program doesn't pay for caregivers in another state,
15 then we're not providing the benefit of newborn
16 screening.

17 We're providing a lot more challenges, yeah.
18 Michele?

19 DR. CAGGANA: When we began Krabbe screening,

1 and I know this was going back aways, but we made --- we
2 put in considerable effort to make sure that our
3 Medicaid folks in DOH knew what the situation was going
4 to be, and that these kids may have to go outside of the
5 state for transplants.

6 And so, it's necessary for the state, for the
7 program, or their chain of command to figure out how to
8 make that work, and make sure that they have buy-in from
9 their Medicaid providers within the Health Department,
10 so that it's possible, and those kids don't have to
11 wait.

12 And so, we actually did a considerable effort
13 to make sure everybody was onboard with that, so it is
14 possible, it's a lot of work, but it's possible.

15 DR. BERRY: And you're in New York, and gene
16 therapies are not going to be done in more than three or
17 four places.

18 DR. CAGGANA: Right, exactly.

19 DR. CALONGE: Margie?

1 DR. REAM: Margie Ream, Child Neurology

2 Society. I think that my question also picks up on the
3 theme that we've had the last few questions. Can you
4 clarify what's meant by referral resources? So, that
5 was on your last slide with kind of detail about the
6 public health impact, and so referral resources, is that
7 just for diagnostic confirmation, or is that for
8 treatment? And if the process maybe, you suggested that
9 maybe the Committee would consider the effort involved
10 before recommending addition to the RUSP.

11 If a treatment is not readily accessible,
12 whether it's because of geographic location of that
13 treatment or cost, you know, how does that play into
14 kind of what you had said about if there is high effort
15 required, particularly for the rest of the states maybe
16 that would be part of the consideration, the timing of
17 recommendation.

18 DR. CALONGE: First thing, first part, we
19 were mainly thinking about treatment referrals. There

1 is a section in there on diagnostic testing, and we can
2 certainly add referral resources for that as well. I
3 think the issue about availability of treatment is an
4 ongoing one that the Committee --- it won't be on the
5 form, but all of- that information should be in the
6 background whenever we look at adding something to the
7 RUSP.

8 And thinking about timing I think is
9 important, and it's a consideration that I think we need
10 to continue to bring forward into our discussion.
11 Remember, this is a tool to help us make decisions, and
12 there will be --- it's not an easy decision. That's why
13 I try to stress that the matrix doesn't make the
14 decision for you. You make the decision. And the
15 matrix is a tool designed to help us get through
16 that. -

17 It's the same way I look at negative, like a
18 net harm. You may get a C, but to Natasha's point, the
19 information back to the nominators will be, this looks

1 like it does more harm than good. It's pretty direct
2 language, and so we won't just --- we won't, the matrix
3 doesn't- define the letter.

4 It's something to help us make a decision
5 whether to add or not add, and there are nuances that we
6 bring up for every part of it other than it would be
7 nice to have a few more high certainty of substantial
8 net benefits, because those are a little bit easier, oh
9 that could be easy to implement.

10 So, the homerun of newborn screening probably
11 is rare, so I appreciate the question. Go ahead?

12 DR. REAM: I think that, you know, access,
13 kind of like what Sue was saying, access to care,
14 whether it's financial or logistical, access to care is
15 identified as a big barrier. You know, what can the
16 Committee do to advocate through other avenues to reduce
17 that barrier?

18 DR. BROSCO: I'm putting on my hat as the
19 Director of Children with Special Healthcare Needs

1 section. So that is something that we are actively
2 working on, and we can either do, you'll remember two
3 years ago we had a presentation, the Blueprint for
4 Change, with children with special healthcare needs, so
5 clearly the population of kids identified in newborn
6 screening fits into that.

7 So, HRSA is working with all of our federal
8 partners to try to make sure that if a treatment is
9 necessary, that it is available to every child.

10 DR. CALONGE: All great questions. I think
11 it gets to the equity issue as well.

12 DR. KWON: Do you mind if I ask a question?

13 DR. CALONGE: Yes, Jennifer, please.

14 DR. KWON: So I think this conversation about
15 the availability of treatment is really terrific, and
16 not that I think it necessarily belongs on the matrix,
17 but I think what I'm hearing from a variety of speakers
18 is that it belongs somewhere, right? So, we have the
19 evidence review, we have you know, a comment from APHL

1 about the lab's capacity, estimated capacity, but we
2 don't really have a discussion about treatment and the
3 availability of treatment.

4 And I didn't know if what I'm hearing from
5 people is a desire to have a more robust discussion and
6 review of that? What do you think?

7 DR. CALONGE: I think that is what you're
8 hearing, and again, I think there's a question in the
9 full nomination package that addresses that, but it may
10 be that it's something we need to address more
11 specifically, and make sure that when we're looking at a
12 nomination package, and maybe even -- I don't have the
13 ability to say what should be in a contract with ERG,
14 but you know, it may be that we can look at our partners
15 who do evidence reviews and assessments, and figure out
16 a way to make sure we have a complete set of ---- sorry,
17 an assessment of the availability of what's going to be
18 needed to provide services for the kids identified is
19 really important.

1 If you remember, if you remember the Wilson
2 Young criteria, which of course I know very well. One
3 of the criteria is that adequate resources, exist and
4 provide treatment to those identified with the screening
5 test. And if you fail that, Wilson and Young would say
6 don't screen for it.

7 That's a hard concept for a lot of folks,
8 especially advocates to grasp. But as a public health
9 care system, it's like what have I done that's
10 beneficial to identify someone who then cannot get
11 treatment? And there may be benefits that many people
12 adhere to, and feel are important, but it's just part of
13 the screening test criteria, and I think it's an
14 important point.

15 DR. KWON: And did I not hear Jeff Brosco
16 volunteer HRSA as maybe the group that could provide
17 evidence of treatment availability?

18 DR. BROSCO: Can we go back a few slides
19 because I think we missed this in the discussion. If

1 you go back to the slide that says, go back again, the
2 other way, oops, the other direction. The one that's -
3 -- what's the title, go back another one please, one
4 more? -Stop there.

5 So, look in the bottom left-hand corner.
6 Follow-up treatment expertise availability. So in the
7 example that we've given of what the kinds of
8 information that would be asked, this is clearly
9 included. Can you go forward two more slides again to
10 the anchors? One more. And it's not as explicit here,
11 but and Margie, you asked this question, so treatment
12 readily available is for low.

13 The other is referrals, referral includes
14 treatment, so I think we tried to put this into both the
15 anchors and the overall survey, that's why I was
16 frowning when you made your comment before Natasha,
17 because I thought we tried to include this as really a
18 public health assessment, and not a narrow laboratory or
19 follow-up program.

1 Getting information is hard. It varies
2 across states. There's all sort of issues, but to agree
3 that the Committee can gather the information, and have
4 it at their disposal, it can be part of the decision
5 making process.

6 DR. CALONGE: Sabra?

7 MS. ANCKNER: Thanks. Sabra Anckner from the
8 Association for Maternal and Child Health Programs. So,
9 kind of coalescing with what I think a lot of folks here
10 have said, but I do want to say from the start we're
11 specifically talking about readiness of state programs,
12 there's really got to be a, do you have a lab or not?

13 I think that's been a problem for a while
14 that they're, you know, asking a state that doesn't have
15 their own lab about their readiness is just profoundly
16 different than asking a state with a lab. The way, you
17 know, they -- first I need the lab I contract with to be
18 able to do this test.

19 Then, right, and so that has, you know, I

1 used to fill out that survey, and that was the problem
2 that I ---- I don't know, I'm not in charge of that
3 part. I can tell you about our specialist availability.
4 I could tell you about the other things, but I'm not
5 actually in control of the lab piece, and so I think
6 really having a way to for folks to delineate that.

7 It's not the biggest percentage of the
8 population, right? If we're basing it on that, that are
9 going to be those jurisdictions, but it is often the
10 jurisdictions that are going to have the biggest
11 barriers in basically every other piece. So, I think
12 that part is really important.

13 The thing is I agree with these guys that
14 have said this already, what is being listed here as a
15 public health impact assessment is not. It is a public
16 health lab and program assessment, you know, I pulled up
17 CDC's definition of health impact assessment, which is
18 helps evaluate the potential effects of a plan, project
19 or policy before it is built or implemented, and can

1 provide recommendations to increase positive health
2 outcomes, and minimize adverse outcomes.

3 In that context this whole thing is the
4 public health impact assessment, right, so I feel like
5 we're getting stuck on the term that is in the statute,
6 which again just says, included in the evaluation the
7 potential public health impact, including the cost of
8 such expansion.

9 Which again, it doesn't say anywhere in
10 there, just at the state lab, right? It's broader than
11 that. I think that this is, you know, even just if
12 you're talking about the public health state agencies,
13 this -- newborn screening is a small part of what public
14 health state agencies do, and what they have to account
15 for, and what they have to go to their legislatures and
16 ask for funding for, and -- including in the maternal
17 child health space.

18 And so, I really think that really trying to
19 better ascertain if that's what we're doing, if we're

1 really including this percentage of states reporting and
2 their effort because we feel like that's what makes it
3 compliant with the statute, I don't know that that's
4 again, I think this whole thing is a public health
5 public impact assessment.

6 But I think that also really thinking about
7 what the costs of treatment are, the availability of
8 treatment of diagnostic testing, and the harms of all of
9 these things because they do exist, is really crucial.
10 And what the reality is that if we are paying for one
11 thing, we are not paying for something else.

12 Do we wish that those decisions were made
13 differently? Yeah. But they aren't, right, and so we
14 are working from one pot of money, and that really is
15 the reality for public health agencies, and I think that
16 it would do us well to have a better understanding and
17 appreciation of that.

18 DR. CALONGE: So, I appreciate the comments.
19 Part of the understanding of the use of the word comes

1 from where this came from, which was congenital cyanotic
2 heart disease, which is a point of service test that
3 state labs couldn't do. And because the recommendation
4 came to add it to the RUSP, it ended up in a lot of
5 activity. And one of the activities says we should be
6 able to talk about whether or not states are ready, or
7 able, or is it feasible for them to do this testing.

8 So, given that's where it came from, that's
9 why it's restricted to kind of state screening programs,
10 as terms of the assessment. But as Jeff said, we're
11 trying to be inclusive of those other elements of
12 treatment and diagnoses, so given where it came from, I
13 think and that we have to do some assessment about -
14 sorry,-- the other piece is the understanding that not
15 all states have implemented all conditions.

16 And for a lot of the reasons people have
17 talked about, trying to bring that into consideration
18 and better identify matching of resources with intent,
19 which I came in as Chair hoping to further, and I've had

1 a little if any success, is that all 50 states should
2 screen for all of the conditions that are approved by
3 this Committee.

4 And we're not there. And so, thinking about
5 the assessment, and how that can be used to argue and
6 promote, and advocate, for resources to meet that need,
7 shouldn't we do the ones we've already approved in all
8 50 states? Shouldn't that be a priority? I think
9 that's kind of where I'm at with the assessment issues,
10 and how could we get people, how can we help states to
11 get there? What is the role of HRSA and other federal
12 funding to get us to at least that point?

13 There will be new conditions. We're in the
14 middle of considering new conditions now that will add
15 to the list of things like a small, or a less resources
16 state isn't doing. And have we helped the children
17 being born in that state? Have we helped people in that
18 state? I'm uncertain.

19 So, I understand that this is looking at the

1 benefits to the children, and the potential harms, all
2 of the other issues as an entire impact. This section
3 is just, are we ready, are we able? And we could have
4 used those words, feasibility and readiness, I think
5 those were the terms in the original set.

6 And we wanted to kind of meet with the
7 statutory wording was.

8 MS. ANCKNER: And I think it would also
9 behoove us to look at not just is everybody screening,
10 but are they screening well, and are the outcomes
11 improved? Because we, you know, even if every
12 jurisdiction is screening for every RUSP condition, but
13 there are some kids who are still never getting
14 treatment, never getting care, never getting appropriate
15 care, which we know is the case already for the
16 conditions that are universally screened for in theory,
17 right?

18 And you know, so what are we, you know, what
19 are we asking folks to do? Because we're not doing the

1 things we say we're doing well yet.

2 DR. CALONGE: Yep. Yep. Thanks. Debra?

3 DR. FREEDENBERG: Debra Freedenberg, AAP.

4 And I think there's kind of been a little bit of
5 diversity, you know, there's been a theme in terms of
6 follow-up and treatment, and the readiness within
7 states, versus the laboratory's ability to actually
8 perform things. And one of the things that you know, as
9 I was listening to this, and I was thinking about is
10 that it's very hard for treatment to follow up for a
11 state to really get a good handle on that, as hard as
12 they try.

13 And even in states that think they have good
14 relationships, you know, when you have not one
15 particular specialty center, but you're dealing with
16 eight or nine of them across a large state, that
17 sometimes there's going to be a diversity of opinion in
18 those states, you may never come to consensus on what
19 people think would be the best way to approach it, and I

1 just think that should be part of our consciousness in
2 thinking about this.

3 DR. CALONGE: Thanks Debra. Michele?

4 DR. CAGGANA: Just a couple comments. I
5 think when we're talking about help with implementation,
6 I think we should remember that we have advocates that
7 can help us with some of this work and getting things
8 onboard. The other thing I would mention is that the
9 community itself is working through CDC and others to
10 create centers of excellence to help with some of the
11 efforts of states that may not be able to bring onboard
12 either the initial, or higher tiered testing, and so
13 there is activity to help us within the newborn
14 screening, at least lab community.

15 And the last thing is this whole discussion
16 about follow-up and availability and expertise- from the
17 pilot states, and I don't want to say it, but I will,
18 stems on the N-of-1 rule, right? Because we're not
19 going to be able to do any kind of assessment unless we

1 have a pilot study within the state that you're
2 surveying, who finds a baby, and then sees whether or
3 not they're available for treatment -- whether treatment
4 is available to them.

5 And I think that's important to kind of keep
6 in mind. The last thing we want to do is identify a
7 child, and then say sorry, we have no --- nothing- for
8 you, so that's probably just as bad as having a
9 diagnosis when you're not screened, to say we know what
10 you have, and we can't do anything for you, so thanks.

11 DR. CALONGE: Susan?

12 DR. TANKSLEY: Susan Tanksley, Association of
13 Public Health Laboratories. So, I wanted to follow up
14 on a few of the comments that have been made, and I
15 appreciate so many of the comments that have been made
16 today. Regarding the how do you gather the information
17 on treatment availability, and expertise in states.

18 And Dr. Freedenberg just mentioned that there
19 might be differing opinion across a state, and we found

1 that when we did the public health system impact
2 assessments, and tried to gather information from
3 specialists within our own state, and would literally
4 get complete opposite ends of the spectrum. And then as
5 a program, how do you respond to that A, B, C, response
6 in the survey, and they average each other out.

7 And so, I'm wondering if there's a way to
8 gather information, and Alex will love me for this, I'm
9 sure. During the evidence review process where so, we
10 have a technical expert panel, but typically that's
11 people who are on the nominating, you know, part of the
12 nomination packet, the experts who have helped put
13 together the information for the nomination, but it
14 really doesn't represent specialists in the field from a
15 more diverse perspective.

16 And so, I'm just wondering if that might be a
17 way to gather some of that information from throughout
18 the nation, so that's one comment I have. And then the
19 other piece is that we know from history that the public

1 health impact assessment has really not been taken into,
2 as a factor in the vote, and so feeling that that will
3 probably still be the way forward.

4 Will the information gathered from the public
5 health impact assessment -- how will it be used? Will
6 it be used to try to develop funding, to develop ways to
7 fill those gaps, those sorts of things from a national
8 perspective versus each state trying to figure out how
9 to fill those gaps, thank you.

10 DR. CALONGE: Thank you, Susan, and that is
11 certainly the intent. I think also with this discussion
12 while I talked about the assessment being separate from
13 the decision, I think thinking about the timing of
14 implementation and voting might be something that's
15 within the purview of the Committee to think about when
16 we have a condition that's -- implementation seems far
17 out of reach without additional resources, appreciate
18 that. Scott Shone?

19 DR. SHONE: I'm good.

1 DR. CALONGE: Hard to believe. Carla?

2 DR. CUTHBERT: I can't follow that one.

3 Carla Cuthbert, CDC. So, Susan, thank you for your
4 comments there. When we were reviewing, we were
5 reviewing the slide deck, you know, we wrestled with the
6 idea and the thought again that yes, there are some
7 things that we don't factor in necessarily, when we're
8 thinking about the vote, and the public health impact
9 seems to be one of them.

10 And we recognize that it takes a considerable
11 amount of effort for all of the states to fill this out,
12 and it may even feel a little disheartening when you go
13 through this entire process, you pour your heart out,
14 and you try to resolve this, put it down there and it
15 feels like there's nothing.

16 But, you know, I think that what we
17 understand, again, apart from it being required, is that
18 it does provide us as feds, and I speak for ourselves,
19 it provides us with a bit of information as to what can

1 we do to position ourselves to help. And being able to
2 understand what some of the barriers are, they're going
3 to be different for each state, and Melissa brought this
4 up as well earlier.

5 It's that everything is so nuanced. You
6 know, you may have a condition for, well, we don't have
7 another set of instruments, we would need more space, we
8 would need all of these other things. But being able to
9 have this information available, even if it's not
10 factored into the vote itself helps the feds to be able
11 to consider how to mobilize to be able to address it.
12 And it may not be an immediate flick of the switch, but
13 hey, you need money, we got money.

14 But it does get us geared to try to figure
15 out how can we really help. And this is something that
16 has sort of motivated us to the center of excellence
17 idea. This was something that again we thought about
18 for several years, and provides us with an opportunity
19 to evaluate this particular idea.

1 I know that the states have thought through
2 this as well as a possible solution. Our program at CDC
3 is really are moving forward with technical assists as
4 well, where we have a group of laboratorians. Again,
5 this is from a laboratory perspective, I recognize that
6 this doesn't solve all the problems, to be able to just
7 send our staff out there to be able to work through
8 issues, help with the implementation, and be able to get
9 over that hurdle.

10 So, it does allow us to try to figure out how
11 are we going to do this, and how can we help? So, I
12 hope that's helpful. We do, like I said, we do
13 recognize that it takes a lot of effort, but it's not
14 for naught. I just want the states to hear that
15 specifically.

16 DR. CALONGE: Thanks, Carla. So, moving on.
17 I --- before we entertain a motion I want to tell you
18 what you're voting on. So, you'll be voting on the
19 adoption of the matrix. -Oh, let me just pause and say

1 we've used one slide to discuss almost all of the
2 challenges of newborn screening, which cannot be fixed
3 with a single slide, so I appreciate all the comments,
4 and a lot of rich discussion, and things that we will
5 continue to talk about and work with our federal
6 partners, our clinical partners and our state partners,
7 and other advocates.

8 The revisions that I think that we agreed on
9 was that C will say at least moderate certainty of less
10 than not moderate net benefit. That's one change. The
11 second, will the public health assessment for
12 implementation in two years will include the number of
13 states that completed the survey question. There are
14 other caveats that we will add to notes in supporting
15 the matrix, and we will keep in mind we may need to
16 modify and add another category in the future, depending
17 on experience.

18 But with those two caveats, I'd like to make
19 a motion if it could come up with the next slide, is

1 there a slide, a motion slide?

2 DR. PHORNPHTKUL: I'll move.

3 DR. CALONGE: Okay. Chanika. Go ahead.

4 DR. PHORNPHTKUL: I'll move to move forward.

5 DR. CALONGE: Adopt the decision matrix
6 with --

7 DR. PHORNPHTKUL: Adopt the decision matrix.

8 DR. CALONGE: With- the- changes discussed.

9 DR. PHORNPHTKUL: Okay. With the decision,
10 sorry, yeah. Okay.

11 DR. CALONGE: Just maybe the next slide.
12 We'll fix it. You understand where that goes, yeah. Is
13 there a second?

14 DR. LAL: I would. I'll second.

15 DR. CALONGE: Thank you, Ash. Could I have a
16 roll call vote please, Leticia?

17 COMMANDER MANNING: Okay. Just say yes if
18 you agree with the motion. Michelle Caggana?

19 DR. CAGGANA: Yes.

1 COMMANDER MANNING: Carla Cuthbert?

2 DR. CUTHBERT: Yes.

3 COMMANDER MANNING: Jannine Cody?

4 DR. CODY: Yes.

5 COMMANDER MANNING: Robyn Sagatov?

6 DR. SAGATOV: Yes.

7 COMMANDER MANNING: Christine Dorley?

8 DR. DORLEY: Yes.

9 COMMANDER MANNING: Paula Caposino?

10 DR. CAPOSINO: Yes.

11 COMMANDER MANNING: Jeff Brosco?

12 DR. BROSCO: Yes.

13 COMMANDER MANNING: Jennifer Kwon?

14 DR. KWON: Yes.

15 COMMANDER MANNING: Chanika Phornphutkul?

16 DR. PHORNPHTKUL: Yes.

17 COMMANDER MANNING: Ash Lal?

18 DR. LAL: Yes.

19 COMMANDER MANNING: And Ned Calonge?

1 DR. CALONGE: Yes.

2 COMMANDER MANNING: Thank you.

3 DR. CALONGE: The motion passes. I
4 appreciate all the work, all the comments, and Melissa?

5 DR. PARISI: You didn't call on me, so I'll
6 say yes.

7 DR. CALONGE: Oh.

8 COMMANDER MANNING: I'm so sorry, Melissa.

9 DR. CALONGE: Thanks, Melissa. We're going
10 to take a ten minute break. We are behind schedule, but
11 it's okay, we'll shorten lunch a little bit, and it will
12 be marvelous. So, please get up and stretch if you do
13 nothing else, and we'll see you back promptly at 10
14 after noon for Alex's presentation on outcomes,
15 standardized outcome reporting.

16 (Break 12:02 p.m. - 12:15 p.m.)

17

1 **Standardized Reporting of Newborn Screening Outcomes**

2 **(STAR-NBS)**

3 DR. CALONGE: All right. So our next
4 presentation is on the standardized reporting of newborn
5 screening outcomes (STAR-NBS) presented by Dr. Alex
6 Kemper. You remember that Alex is Division Chief of
7 Primary Care Pediatrics at Nationwide Children's
8 Hospital, and Professor of Pediatrics at the Ohio State
9 University College of Medicine, also the Deputy Director
10 of Pediatrics.

11 He also is the lead for the evidence review
12 group. Dr. Kemper?

13 DR. KEMPER: Thank you very much. I'm also
14 going to make the world's fastest presentation, and try
15 to get us back on time. I just wanted to inform
16 everyone about this small ongoing project that we
17 affectionately refer to as STAR-NBS. And this grew out
18 of work that the evidence review group has been doing
19 around evaluations of newborn screening tests, and one

1 of the challenges that we have when we look at
2 publications is that there's just a ton of variation in
3 how data are reported, which creates confusion and makes
4 it really difficult to synthesize data.

5 And there are a wide number of areas that
6 often need clarity, so things like the clinical case
7 definition, of the screening, what the reference
8 standard is, how the test characteristics are assessed
9 by that. I mean things like sensitivity and
10 specificity, your positive predictive value, and
11 negative predictive value.

12 Because sometimes it can be unclear how, when
13 samples are submitted that are inadequate are taken into
14 account, when the test characteristics are calculated,
15 what happens with lost to follow-up or when there are
16 indeterminate diagnostic test results, there's also a
17 variation in how nontargeted conditions are reported,
18 and how that plays into the test characteristics.

19 So, things like carrier status, if there are

1 other nontargeted phenotypes, like late onset disease
2 that are identified, or just other conditions completely
3 different conditions that may or may not be beneficial
4 to identify. And it makes the job of synthesizing the
5 data really quite difficult.

6 There are often other areas that need
7 clarity, so the unit of analysis, so we are particularly
8 interested in looking at the newborn as is the unit of
9 analysis, but sometimes reports talk about the screening
10 test is the unit of analysis, and that can be
11 complicated if there's more than one screening test done
12 per newborn.

13 As you would expect, there's evolution of the
14 screening algorithm over the course of a study period,
15 and it can be hard to figure out in some reports. When
16 you look at the characteristics, how does it play out
17 with the final screening algorithm, versus one that
18 might have been in progress. Sometimes there's
19 components of cost analysis that are reported or not

1 reported, and it can be hard to understand that.

2 And then oftentimes the plans for follow-up
3 are reported differently, in terms of what happens after
4 diagnosis, or if there's diagnostic uncertainty. So, we
5 are interested in coming up with the standardized report
6 guideline that journals could use. And something like
7 this already exists, so there's something called the
8 STARD, which are reporting guidelines for diagnostic
9 accuracy studies in general.

10 And there are 30 items, and you'll be happy
11 to know I'm not going to read all 30, that cover most of
12 the points needed to fully understand newborn screening.
13 So, if reports followed the STARD guidelines, a lot of
14 the issues I talked about would be covered, but there
15 are some aspects that often that we are interested in,
16 that need clarity that don't fit into the STARD
17 reporting guidelines.

18 And so, we proposed an extension to the
19 existing reporting guideline, and that includes the

1 clinical case definition of the targeted screening, the
2 reference standard, and there's other things that are in
3 bold that I talked about before. I'm just going to skip
4 over -- so, where are we now in this process?

5 So the STARD guideline is part of a network
6 of reporting guidelines that are maintained by something
7 called the EQUATOR Group, and it's maintained on their
8 website, and a lot of journals will look --- tell
9 authors to look at the EQUATOR Guidelines when they
10 submit- studies related to particular topics.

11 So, we want to expand the STARD to make it
12 relevant for newborn screening, and we have gone to the
13 EQUATOR mountain, so to speak, and gotten permission to
14 extend the STARD. I've spoken to some experts in the
15 newborn screening world, including sending some surveys,
16 and that kind of thing. We've gotten preliminary
17 feedback in terms of the things that we thought that
18 might be good to add that maybe we do need, and some
19 that we maybe don't need.

1 And we put it all together, and at that
2 point, at this point we may reach out to those that are
3 doing newborn screening studies, just to see how this
4 would play in the kind of reporting that they do. And
5 once we do some pilot testing, and are happy with how
6 things are, then we can go back to Equator and ask them
7 to make it an officially recognized thing, and it's just
8 a matter of going back to the journals and getting them
9 to recognize that this is out there, as well as
10 promoting this within the newborn screening community.

11 It's, I think personally, a simple, small
12 request for those that are interested in publishing
13 their work, that at the end of the day would facilitate
14 better outcomes in terms of being able to understand
15 findings from studies, and being able to synthesize
16 across them, and ultimately help with the complex
17 decision making that this body has to make.

Committee Discussion

1
2 DR. CALONGE: So, thanks Dr. Kemper. I
3 wonder if there are any questions or comments from
4 members of the Committee? Jeff? Jeff Brosco?

5 DR. BROSCO: Jeff Brosco. So, Alex, I
6 understand why this is so important for the Committee,
7 and an example of how the federal government is trying
8 to support folks who want to nominate additions. Very
9 often in the evidence review process we'll hear that
10 you've looked at lots of studies, but it's hard to put
11 them together, make much sense of them, and be able to
12 say this is what the evidence shows.

13 And this is routinely done in the research
14 world. Now, if there are standard, and you want to do a
15 qualitative study you follow this. If you want to do
16 randomized control trial, you follow this, and journals
17 will ask you to do that. So, in some ways what you're
18 talking about is a guide for people who want to do
19 newborn screening research, that will allow their

1 research to easily be used in the evidence review
2 process, if that informs this Committee. Did I get that
3 right?

4 DR. KEMPER: 100%. I should have had you do
5 the presentation.

6 DR. CALONGE: From my standpoint I'm fully
7 supportive.

8 DR. KEMPER: Excellent, all right. Well,
9 then I'm going to hurry up and sit down then before
10 somebody can say something different.

11 DR. CALONGE: Any Organizational
12 Representatives, comments or questions?

13 DR. KEMPER: Thank you.

14
15 **ACHDNC Review of Research Focusing on Lived Experience**
16 **Perspectives**

17 DR. CALONGE: Yeah. Well, it's hard to let
18 him off without some grief, but all right. You know, we
19 talked, staff and I, talked after the last meeting when

1 we had Dr. Noyes join us from Bangor, Maine. Wales,
2 different than Bangor, to talk about qualitative data
3 synthesis, and people saying we're trying to put that
4 within context. So, we've been trying to systematically
5 learn and understand ways in which data on information,
6 other than what the Committee usually looks at in
7 evidence reviews, might be lifted up and valued, and be
8 part of decision making for the Committee.

9 Especially in terms of the lived experience
10 of families with children with the conditions for which
11 screening is being recommended or examined. And so,
12 what we decided to do with this hopefully brief
13 presentation, is to try to go over and remind you what
14 we've looked at as we're building the information that
15 we think could help us move into that part of net
16 benefit considerations that includes kind of lived
17 experience, and additional information from families,
18 specifically.

19 So, what we've done is put together a slide

1 set that just reminds you of what we've been through,
2 and suggests what we would like to do next. Next slide
3 please. So, in starting --- here's- the background, our
4 stakeholders expressed the need for patients, lived
5 experience to be taken into consideration in our
6 processes.

7 Those expressed by public comments during our
8 meetings are able to be translated into evidence, and
9 therefore considered by the Committee. So, both the
10 Committee Members and HRSA staff, we agree with our
11 stakeholders, and then have identified the speakers that
12 started since May of 2023. Next slide.

13 The first of those was in 2023 we had Beth
14 Tarini from the Children's National Research Institute
15 present on mining the gap. This was a project that
16 describes data gaps for the impact of false positives,
17 and uncertain prognoses with newborn screening. Beth
18 summarized active NIH funding research projects looking
19 at the impact of false positives, and prognoses.

1 And they used mixed method approach,
2 including qualitative research, and we're looking
3 forward to follow-up results to be presented at a future
4 Advisory Committee meeting. Next slide. Then in
5 January of this year, Don Bailey and Elizabeth Reynolds
6 from RTI, sorry, and Melissa Raspa, all from RTI
7 International presented on a project family outcomes of
8 newborn screening.

9 This project describes previous research, and
10 is using it to develop a tool to measure family outcomes
11 of early intervention, recognizing that there are
12 outcomes that we don't traditionally capture, or
13 necessarily consider in our decision-making process.
14 These are HRSA-funded, this current study to adapt the
15 tool to family outcomes, specifically for newborn
16 screening, and the project is continuing to work with
17 families to develop key concepts around issues such as
18 quality of life. Next slide please.

19 Also, in January of 2024, we had a project

1 described the family's search for meaning and value in
2 rare genetic diagnoses. Here, Dr. Ackerman from the
3 University of California talked about her project from
4 the Program in Prenatal and Pediatric Genomic
5 Sequencing, performed 2017 to 2022, looking specifically
6 at the utility of genomic information from families,
7 exploring the ethical and social issues of exome
8 sequencing, and then presenting data collected through
9 clinical observations and in-depth interviews with
10 parents.

11 Next slide please. And finally also in
12 January, we had The Value of Values, Expanding
13 Assessment of Net Benefit and Harms through Social
14 Science Data. Aaron Goldenberg, from Case Western
15 Reserve, presented on the project that describes the
16 need for more data from the family perspective on
17 newborn screening, in order to expand our notions of
18 benefits and harms for newborns, family, and for
19 society.

1 He provided an example of ScreenPlus NBS
2 study in New York. This is a consented pilot newborn
3 screening program, which is gathering key stakeholder
4 opinions to guide ethically sensitive decision making
5 about newborn screening expansion. Next slide.

6 In May of 2024, we streamed in Jane Noyes,
7 Dr. Jane Noyes, Professor in Health and Social Services,
8 Research and Child Health from Bangor University in
9 Wales. She is talking about qualitative evidence
10 synthesis, specifically the GRADE--CERQual approach for
11 assessing confidence in synthesized findings from
12 qualitative studies.

13 She talked about how qualitative research can
14 be brought together and synthesized in an evidence
15 review that can then be used by decision making groups.
16 She discussed in depth the GRADE--CERQual approach for
17 assessing confidence in qualitative findings.

18 This word "confidence" is different from the
19 word that we used in the quantitative assessment, which

1 is certainty, but it's the qualitative synonym, the same
2 concept for qualitative research. And then she provided
3 examples of how GRADE--CERQual has been used in
4 developing and influencing evidence based clinical
5 guidelines, and we just put a link to GRADE--CERQual in
6 the slide. Next slide.

7 So, here are the projects that we tried to
8 systematically bring forward in front of the Committee
9 to think about how we could move forward, and this is a
10 very important source of evidence, the mind the gap,
11 early intervention in newborn screening, search for
12 meaning and value in rare genetic diagnoses, values of
13 values looking at net benefits and harms, and social
14 science data, and assessing the confidence in evident
15 synthesis of qualitative studies. Next slide.

16 Oh, and -- sorry, if you go back, if you hit
17 on that QR code it will take you to the presentation and
18 slide sets that we had summarized. Sorry, now next
19 slide, thanks. So, the conclusions that we made is that

1 there are established methods for translating lived
2 experience into peer-reviewed research.

3 We also believe that research can be
4 synthesized in the evidence review for a condition
5 nominated for the RUSP, and this is an evidence-based
6 and structured systematic way of including the voices of
7 family in an evidence-based decision process. So the
8 next steps that we are planning on is to bring together
9 a panel on the resources available to fund research,
10 that includes the lived experience perspective.

11 And I hope at that time, also in part to
12 funders, why we think this is a critical area of need,
13 next slide.

14
15 **Committee Discussion**

16 DR. KEMPER: With that, I'd like to open the
17 floor to discussion by Committee Members, and then Org
18 Reps, and see if there's any additional comments or
19 suggestions from my colleagues on the Committee. And

1 that's what happens pre-lunch right, that preprandial
2 drop in glucose levels. All right. Turning to our
3 Organizational Representatives, Bob?

4 DR. OSTRANDER: Hi. Robert Ostrander,
5 American Academy of Family Physicians. This has been,
6 and I've been an Organizational Rep for quite a long
7 time now. This has been a concern of mine and ours for
8 a long time, as we've been looking at things on the
9 RUSP, and I'm just going to summarize briefly how I
10 crystalized this in my own mind before I kind of make my
11 comment and ask my question.

12 It seems to me that there are three domains
13 that should be considered when we're looking at net
14 harms and benefits. One of them is I think what's
15 gotten a lot of the focus and attention, which is
16 biological disease specific interventions, and I think
17 that's often been 98% of the decision-making process. I
18 think then between that and the lived experience, is the
19 nondisease specific interventions that early detection

1 can assist with.

2 And I mean I've done some work with MBA a few
3 years ago, and it was our sense is that maybe the
4 benefits of that because of the delay is between
5 symptoms and diagnosis of muscular dystrophy is so long
6 that those benefits were potentially being overlooked.
7 And then there are the lived experiences impacts of
8 screening.

9 So, that's kind of how I put it in my mind,
10 and I want to make sure that middle one doesn't get
11 neglected. Another concern I have is that we've been
12 so, and I don't mean we here, I mean we, as a society,
13 have been so focused on assigning a higher degree of
14 validity and reality to things that we measure, than
15 things that are harder to put a number on, that we just
16 constantly caution ourselves not to say we're going to
17 look at all these, but the stuff that has the numbers is
18 going to carry, you know, is going to something I assign
19 more reality to.

1 And this has been an issue for a long time,
2 and it's, you know, we run into it in the practice with
3 daily primary care all the time because, you know, we're
4 judged on what we can measure, and not what really
5 matters a lot of times. And then my final comment and
6 concern, really the question is how are we going to
7 gather information about lived experiences from people
8 who are not forthcoming with their lived experience?

9 I think people who have suffered harm in
10 their minds at least, from not having their children
11 screened come forward. I think those who have had
12 benefit from having their child screened and been
13 treated come forward. I think it's harder to find the
14 folks that where the opposite is true, that have
15 benefitted from that, you know, benefitting from not
16 being screened, or have been harmed because of the
17 result of being screened, or having a diagnosis.

18 That has come up a fair amount, I think it's
19 been given a lot of weight, and in some of these early

1 discussions is oh my goodness, a false positive is so
2 horrible we better not consider a test that isn't
3 perfect. But if we're going to do this lived experience
4 research, how are we going to solicit people that have
5 not benefited from, or potentially benefitted from the
6 program, or have been harmed by the lack of it?

7 And I think that's going to be a challenge to
8 make sure you get a decent dataset that way.

9 DR. CALONGE: Yeah, I think these are
10 questions that I asked Dr. Noyes when she was here, and
11 she said you just have to do more research. And there
12 are qualitative researchers who, that's what they do.
13 They figure out recruitment methods, survey strategies
14 and other approaches.

15 But you're right, it's more difficult than -
16 it'd be more difficult than measuring numbers. People
17 who do qualitative research, who have to look through
18 the narrative developed themes, Dr. Noyes gave an
19 example that two different evidence synthesis groups can

1 look at the same body of evidence and come up with a
2 different set of themes from the same evidence. So it
3 has the challenges that we see in the quantitative
4 research world as well, and things that I'm excited
5 about the progress that folks are making in qualitative
6 data research.

7 The other thing I'm interested in looking at
8 is that there's a recognition that AI, and ML, and
9 machine learning might give us additional tools that
10 might be a source of greater consistency and extraction
11 of themes, and a qualitative data synthesis moving
12 forward.

13 I think it may be a little bit --- well, I
14 don't want to predict. AI has moved pretty fast. It
15 has not always moved in positive directions, but the
16 fact that people are thinking about it for data
17 extraction and synthesis from qualitative data synthesis
18 I think is pretty exciting. So, I think those are good
19 admonitions, and I think we're really trying to look for

1 a way that lived experience can enter the evidence
2 stream that leads to the consideration by this Committee
3 and those in the future about making decisions about
4 adding additions to the RUSP. Oh, Natasha, I'm sorry -
5 -- oh, Ash?

6 DR. LAL: Very briefly. I would second the
7 comments from Robert that, one place where I have
8 difficulty in assessing and making judgements is the
9 impact of false positives, and I don't think we have the
10 --- some presentations that we've had in the past for
11 some comments we've had in the past. I often feel our
12 problem in previous generation of testing and the fact
13 that all we use genetics now, and genetic diagnosis, and
14 how that has impacted the general process of how people
15 receive news about the genetic diagnosis compared to
16 where we stood maybe 20- or 30 years ago, you know.

17 For potential impact of a genetic diagnosis,
18 which may not be needed versus later, where maybe some
19 uncertainty, but the diagnosis, both the necessity of

1 the change. So, these --- I felt sometimes that
2 there's- a lack of current impact of uncertainty of
3 false positives on families, and how that could be
4 addressed.

5 I don't know whether it could just be
6 surveyed during the evidence review group, or if it may
7 perhaps be an invitation in light of presentations.

8 Thank you.

9 DR. CALONGE: Thanks, Ash. Jeff?

10 DR. BROSCO: Just a quick follow-up that the
11 NIH funded, you heard, Aaron Goldenberg and the
12 presentation that we had from Beth Tarini, and they just
13 sort of presented it. They were addressing that very
14 question, they should be having results fairly soon, so
15 when they're ready to come back we're certainly going to
16 have them come and talk to this Committee, because
17 you're right, Ash, we need to have updated information
18 on how false positives and other uncertainty really is
19 working nowadays.

1 DR. CALONGE: Now, Natasha?

2 MS. BONHOMME: Natasha Bonhomme, Genetic
3 Alliance. Thank you for the presentation of the recent
4 research in this space. I think it's important to note
5 that, you know, there really is kind of a world of
6 dialogue and discussion amongst patients that does not
7 make it into journals, but it is there.

8 And so, really thinking about how to engage
9 directly with those patient groups to hear from them,
10 not necessarily just an assessment or an analysis of
11 some of those experiences. FDA has really great
12 processes around that in terms of engaging families in -
13 - - and- parents and a whole range of people, in the
14 discussion, in the actual decision making that they do,
15 so that may be something to look at.

16 And then in speaking to the point of, you
17 know, how do we get the perspectives of people who tend
18 not to share their perspectives? Oftentimes they are
19 sharing their perspectives, they're just not doing it in

1 the channels that we are tapped into. So, even long,
2 long time ago when we built baby's first test, we didn't
3 just listen to patient advocates, but we also went on to
4 discussion boards, where people were actually being
5 critical of newborn screening, to see what the
6 discussion was there, and really dive deep in terms of
7 the questions that needed to be addressed.

8 So again, there are those different both
9 academic and non-academic, formal and non-formal ways to
10 really tap into the very perspectives, not just a family
11 perspective, but the range of perspectives out there.

12 DR. CALONGE: Thanks.

13 DR. CUTHBERT: Can I just quickly respond to
14 that?

15 DR. CALONGE: Yes, Carla?

16 DR. CUTHBERT: So, those are really, really
17 good points, and again I don't presume to be any kind of
18 expert in this, but I do want to point out that with
19 machine learning and AI, things like sentiment analysis,

1 can really be very helpful in being able to make those -
2 --- have an appropriate way to be able to make those
3 assessments, and to pull out information as a result of
4 that.

5 So, I've been thinking about some of those
6 things. Again, just as part of my understanding of what
7 AI can do to really help, and it would be really
8 interesting to be able to chat with you about places
9 that you can actually find --- get feedback on where
10 people are talking about newborn screening, where we may
11 not be looking. -Thanks.

12 MS. BONHOMME: Yeah, I just want to add to
13 that. I think that it is important to be thinking about
14 AI and how that can be helpful, and at the same time,
15 hold up the same, you know, equal weight. We've all
16 seen when a new technology comes in that's supposed to
17 solve everything, and then three years down the road
18 people are like oh my gosh, it has the same biases that
19 everything else has had, so I think that's important to

1 think about, but I mean yes.

2 Every single day there's a new AI technology
3 that can be implemented that's free, that can be pulled
4 in. So I think we need all of it, it's not a one size
5 fits all, not that you were saying that, Dr. Cuthbert,
6 but I think we need as many of the resources and thought
7 partners around that.

8 DR. CALONGE: Yeah, there's a great NIH
9 project called Aim Ahead, which is specifically looking
10 at AI and ML in the context of making it appropriate
11 across different marginalized populations, looking at
12 specifically those issues about how to undo the bias, or
13 build supported strategies that are inclusive of other
14 ideas and other concepts, other cultures, other ways of
15 thinking.

16 So, it's a well-identified issue that even
17 NIH is helping us try to sort through. I appreciate the
18 comments. Amy?

19 DR. GAVIGLIO: Yeah, thank you, Amy Gaviglio,

1 National Society of Genetic Counselors. I have a
2 question and a comment, so my question is, is the intent
3 of this research to try to obtain lived experiences for
4 each individual disease that is going through a
5 nomination process, or more globally assessing lived
6 experiences of rare disease was my question?

7 And then my statement is as we think about
8 assessment of experiences, and potential harms around
9 things like false positive results, I think it will be
10 very important for us to glean the context in which the
11 false positive result occurred, and I ask that, or I
12 mention that in terms of teasing apart whether it truly
13 is the result that causes harm, or the way it is
14 communicated, and the lack of support provided to
15 families.

16 And I can see that being different if say, a
17 family meets with a genetic counselor right away. And
18 so, I want to make sure that we're not just assuming
19 that a result in and of itself causes harm, but we're

1 really taking the time to understand the context in
2 which that result was conveyed, and the support the
3 family gets as part of that process.

4 DR. CALONGE: Yeah, I think those are great
5 additional comments, and I think as we thought about it,
6 at least started, it would not necessarily be top-- I
7 mean, the research that's going on now is not topic
8 specific. And the concept is that within some limits,
9 the topic, it should be agnostic to the topic. There
10 may be issues of rarity or severity, or treatment
11 availability, or other issues that make it different,
12 but I think we're thinking kind of high-level summary,
13 at least as we get started.

14 And then thinking about the evidence review
15 group, there's a category of evidence called evidence by
16 analogy, so it worked in this setting. Is there any
17 reason to expect it wouldn't work in this other setting?
18 The issue about how the information is provided I think
19 is an important contextual issue, thanks. Thank you so

1 much for input. Stay tuned, and I'll turn things over
2 to Leticia.

3 COMMANDER MANNING: So, we're going to head
4 into lunch now. Just as a reminder, there's a cafeteria
5 right across the way here. There's also a store back
6 this way, where you can get a hold of snacks if needed.
7 Please let HRSA staff know if you must exit the
8 building. Tina is in the back there, she was waving her
9 hand, but we really encourage folks to stay in the
10 building if possible.

11 DR. CALONGE: And this slide is incorrect.
12 It should say 1:45. Yeah. So, we're going to give you
13 a full hour for lunch, and this is just a leftover from
14 earlier strategies, so please resume promptly at 1:45,
15 and we'd be exactly on schedule. Thank you.

16 (Break 12:48 p.m. - 1:50 p.m.)

17

1 **Approaches to Population-Based Screening in Newborns and**
2 **Children**

3 DR. CALONGE: As we reconvene, we have been
4 talking, and we've even heard references this morning
5 about issues around what state newborn screening systems
6 and programs and labs can do, and things that are more
7 challenging in terms of implementing. When our intent
8 is to try to look at universal screening for different
9 rare conditions.

10 So, there are other approaches that have been
11 used successfully in the past. Things like clinical
12 standard of care is an alternate pathway for achieving
13 universal screening in clinical care. Also, clinicians
14 and organizations use continuous quality improvement as
15 a way to do this, just like newborn screening programs
16 do, or organizations or HMOs who are competing in the
17 marketplace use HEDIS measures.

18 But sometimes there may be state involvement
19 that's neither appropriate, or at least not easily done,

1 especially for things like point of care screening. So,
2 we wanted to have a more in depth look at how this is
3 achieved outside of the state newborn screening systems,
4 and state public health and I brought kind of two folks
5 to present today.

6 We're going to have -- first, we're going to
7 hear from Dr. Stephen Patrick. Dr. Patrick is Professor
8 and Chair of the Department of Health Policy and
9 Management at the Rollins School of Public Health. He's
10 also the Codirector of the Center for Health Services
11 Research, and a practicing Neonatologist at Emory
12 University.

13 He is an Adjunct Physician Policy Researcher
14 at RAND Corporation. Dr. Patrick joined Rollins from
15 Vanderbilt University Medical Center, where he was
16 Professor of Pediatrics and Health Policy, the William
17 Long Director of Child Health Policy at the Vanderbilt
18 Center for Child Health and Policy, and the Executive
19 Director of Firefly.

1 I also am going to ask to come back to the
2 podium, Dr. Alex Kemper, Division Chief of Primary Care
3 Pediatrics at Nationwide Children's Hospital, and
4 Professor of Pediatrics at the Ohio State University
5 College of Medicine. His research focuses on
6 preventative services in the primary care practice
7 setting.

8 He has partnered with the American Academy of
9 Pediatrics in activities related to Bright Futures and
10 the periodicity schedule, which is the evidence informed
11 age-based recommendations for preventative services.
12 Dr. Kemper also did as many years as they would let him
13 as a member of the U.S. Preventative Services Task
14 Force, which is another evidence-based group that makes
15 recommendations that can be covered with first dollar
16 coverage under the ACA Act.

17 So, with those introductions, is Patrick
18 starting? I want to welcome you, Dr. Patrick. We see
19 you onscreen, and we're going to let you go first.

1 DR. PATRICK: Wonderful. It's great to see
2 you all. Do you see my slides?

3 DR. CALONGE: We do.

4 DR. PATRICK: Fantastic. Well, it's great to
5 be with you all virtually. I am also a practicing
6 neonatologist, and so part of what I was asked to do
7 today is just talk through some of the critical things
8 that happen during the beginning parts of life, and give
9 a couple examples of things that we do for newborns,
10 particularly, you know, pre-term newborns, in those
11 first few critical minutes and hours, and talk about
12 like the care processes, and specific actions, and how
13 we work to make them better.

14 So, our objectives today for my brief time,
15 is to discuss these critical transitions in the first
16 hour of life, for extremely low birth weight infants,
17 describe temperature control as an example of care
18 process improvement early on in life, discuss some risks
19 for opioid-exposed infants, and how process improvement

1 at discharge transitions can occur for opioid-exposed
2 infants, again all focused on improving outcomes.

3 Well, this is a pre-term newborn, so
4 extremely low birth weight infants are small, and they
5 are vulnerable to many complications early on in life,
6 and this is kind of what things feel like in the
7 delivery room, and at that moment multiple steps need to
8 be taken to ensure that newborn does well.

9 Here's just an example of admission order
10 stats, just taking a picture of you know, what happens
11 for an extremely low birth weight infant around the time
12 of birth, and just to give a sense of this, there's you
13 know, more than 30 standard admission orders listed
14 here, and certainly you can go through multiple decision
15 trees of the things that need to happen, from everything
16 from glucose monitoring, to blood test monitoring, to
17 processes that need to occur.

18 But if untreated, extremely low birth weight
19 infants, AKA infants less than a kilogram, will develop

1 respiratory failure, hypothermia, and hypoglycemia. And
2 so, this golden hour in the first hour of birth is
3 really the optimal time to rid these complications and
4 avoid both morbidity and mortality.

5 One of the things I want to focus on is
6 temperature, because we often say, you know, measuring
7 temperature seems like an easy thing to do. You know,
8 if I said to you, gosh, we should measure temperature in
9 newborns when they're born, you would say well, of
10 course we should be doing that, that seems like an
11 obvious thing.

12 But what we've discovered in hospitals across
13 the U.S. is that oftentimes we fail to do that, or we
14 fail to set the circumstances up to where infants have
15 normal temperature. We know that hypothermia is less
16 than 36.5 degrees Celsius, 37 degrees is 98.6 for those
17 of us work in both the metric and non-metric world.

18 Newborn temperature can drop two to four
19 degrees Celsius in the first 30 minutes of life without

1 interventions, and that's for a couple of reasons. One
2 is body surface area to body mass. Infants have a
3 ton, -- really pre-term infants have a ton of skin, and
4 not a lot of body mass. Imagine anyone of us walking
5 around right now with twice as much skin as we have,
6 you're going to lose a lot of heat.

7 Subcutaneous fat, they have less of that than
8 we all do, and immature vasomotor control, which is sort
9 of the ability to sort of open up vessels to control
10 temperatures a bit better. In one study, every one
11 three Celsius decrease in admission temperature was
12 associated with a 28% increase in mortality.

13 This is true in the U.S., and certainly there
14 are multiple states outside the U.S. that associate
15 hypothermia with poor outcomes. We often think about
16 the ways infants lose temperature. These are kind of
17 the four big ways. There are things we all think about
18 that when applied to a newborn, you've can see how
19 things come together.

1 Radiation, they just lose heat. This is why
2 we think about hats on infants, convection, wind coming
3 by, and trying to think just like a convection oven,
4 evaporation, infants are wet when they're born, so they
5 lose temperature and then conduction. If you put them
6 on a cold surface they will lose temperature that way.

7 So, what can we do? I mean some of these
8 interventions are pretty darn simple. Put a hat on the
9 baby, blankets, skin to skin care with the birthing
10 parents. Increased temperature in the delivery room or
11 operating room. A radiant warmer, warmer above the
12 infant. A chemical heat mattress underneath the infant
13 for really for very pre-term infants, and a polyethylene
14 bag, if you can believe that too.

15 It literally looks like a Ziplock bag. Even
16 with these simple solutions, a 2016 analysis found that
17 nearly two in five very low birth weight infants were
18 cold, and this is an analysis from the Vermont Oxford
19 Network, which includes data from about 80% of hospitals

1 that care for these types of infants.

2 Here's an example of a bag that an infant is
3 placed in, I'm sorry for the low quality image, but you
4 know, you get a sense of like yes, in the delivery room
5 just placing an infant in a bag like this can be
6 effective. So, I want to share an example of one
7 hospital, this is published in the Journal Hospital of
8 Pediatrics a couple years ago, how they approached
9 improving what is a very important problem.

10 It seems like we should be able to address
11 that pretty easily, right? So, they went through and
12 developed a driver diagram, and I know Dr. Kemper is
13 going to talk a little bit more about these types of
14 quality improvement efforts, where they started, what's
15 their aim to decrease the rate of hypothermia, pretty
16 straightforward.

17 What are the primary drivers? We can go down
18 the line of staff knowledge, you know, do the staff know
19 about evidence-based control? Have they heard about the

1 - sorry -- evidence-based protocol. Have they heard
2 about the protocol? And then what are the
3 interventions, working backwards to that.

4 Expansion of chemical heat mattress, bedside
5 limited cards, guideline badge cards, like every
6 hospital has a unique approach. And this sort of
7 broader approach to quality improvement works through
8 planned study act, and so you plan an intervention, you
9 do it, you study it to see how it was effective, and
10 then you modify it.

11 So, if your initial intervention -- let's say
12 your first intervention is okay, we'll try a hat. If
13 that doesn't fix the problem, they modify the
14 intervention until you get to where you need to be.
15 It's different than a clinical study like that. So,
16 here's their results. When they started in January
17 2016, only about 40% of infants were below the desired
18 temperature.

19 And you can see as they go through cycles.

1 These cycles are these PDSA cycles, where they have done
2 audits, then they expanded heat mattresses, and then
3 kind of modified things further. And so, you start to
4 see this improvement, including sustained improvement,
5 and just doing the right things that we know improves
6 outcomes.

7 This is part of how process can improve, and
8 we can pull out processes for many things, including
9 glucose control, which is a, you know, a diagnostic test
10 we do pretty early on in life. I want to talk about
11 one, just another one that's from our group, where at
12 Vanderbilt, where I was before. We know that nationwide
13 about every 15 minutes an infant is born having opioid
14 withdrawal in the U.S.

15 And opioid-exposed infants are at risk for
16 adverse discharge complications, including readmission.
17 Many infants that are opioid-exposed are not connected
18 to critical post-discharge services, and this is
19 important. One of those services is ensuring they've

1 been tested for Hepatitis C.

2 In our setting, about 40% of opioid infants
3 are also diagnosed with Hepatitis, or also exposed to
4 Hepatitis C, but you can't test newborns during the
5 birth hospitalization. It's completely silent. You
6 have to wait until they are a couple months old.

7 Because of that, less than 20% are tested to
8 see if they also develop Hepatitis C. That's just not
9 unique to Tennessee, that's true with other areas of the
10 country, including some work from colleagues in
11 Philadelphia. So, we came up with a pretty simple
12 checklist, which is schedule a pediatrician visit before
13 discharge, referral to home visitation services,
14 referral to early intervention services to provide
15 developmental support, referral to our clinic, and if
16 exposed, referred to Hepatitis C follow-up.

17 Here again, you'll see the kind of similar
18 discharge, excuse me, key driver diagram, where we sort
19 of work through where we want to be. We believe in kind

1 of smart entrance to be really specific, increased
2 successful upon completion of the discharge checklist
3 from 2.6% to 40% by January 5th. And you can see how we
4 work through those problems.

5 Similar to us, when we look back to see okay,
6 how often were we meeting those things within the
7 checklists every time we were to report? And you know,
8 we were essentially zero. And then you have some simple
9 interventions beginning a bundle, a measurement of the
10 bundle, just measuring it broad awareness to that.
11 Doing some resident education and sticky notes in the
12 electronic health record, improve things further.

13 And then doing some biweekly resident
14 reminders. You could see this dip was when we lost a
15 social worker. But you can get a sense of, as you begin
16 to improve processes, you can start to do things that
17 are complex. So, care processes can be exceptionally
18 effective, and there are a lot of key resources.

19 I mentioned the Vermont Oxford Network before

1 the caps is around 80% of very low birth weight infants,
2 but they also do quality improvement around term
3 infants, state perinatal collaboratives are founded by
4 the Center for Disease Control and Prevention, a
5 Division of Reproductive Health, coordinated by NICU,
6 and often have a state partner like in Tennessee,
7 Medicaid, and then certain guidelines.

8 I mean I will point out just some of the ones
9 that we worked on, and you know, the opioid syndrome
10 also has elements of a checklist. So, you know, my goal
11 for this was just to introduce what quality improvement
12 processes can be for newborns. I realize that, you
13 know, we're looking at this through the lens of newborn
14 screening, and things that have to be there, so I think
15 we'll have an interesting discussion, and look forward
16 to that as we move forward. Here's my contact
17 information.

18 DR. CALONGE: Thanks, Dr. Patrick, and now
19 Dr. Kemper.

1 DR. KEMPER: Thank you very much, and thank
2 you Dr. Patrick for keying things up. We're going to
3 switch from the NICU and talk about things that happened
4 after discharge from the newborn nursery. This is
5 something I feel very passionate about. I'm really
6 excited for this opportunity to talk about approaches to
7 screening in childhood over the next six hours straight,
8 is that how long I have?

9 Because I could do it. So, I just --
10 beginning with the disclaimer that these reflect my
11 thoughts, not the American Academy of Pediatrics, the
12 evidence review group. We're not going to be talking
13 about newborn screening, or really any other
14 organization. To help sort of pull things in, I did
15 come up with a series of learning objectives that we're
16 going to do, actually, within the next 20 minutes or so.
17 So, I'm going to summarize the general prevention
18 strategies, and how they apply to primary care
19 pediatrics to construct approaches for primary care

1 prevention.

2 At the end you should be able to relate
3 approaches in primary care to newborn screening, right,
4 so where's the analogy? To evaluate approaches to
5 primary care prevention, to explain the importance of
6 processes and outcome measures. Dr. Patrick talked a
7 little bit about those, and then to navigate the process
8 from recommendation to implementation.

9 And those questions came up earlier this
10 morning. So it would be nice to return to that. I like
11 to think about different levels of prevention because it
12 ties to the kinds of things that we can do to improve
13 health outcomes. So, you'll hear me talk about
14 primordial prevention, sometimes these are avoiding the
15 risk factors early in life that can lead to disease.

16 Primary prevention, which is avoiding the
17 condition by elimination of the disease agent or
18 increasing resistance. Secondary prevention, which is a
19 lot of the work that we do in this group, which is

1 addressing the condition early on before symptoms, and
2 then tertiary prevention, which can include things to
3 reduce the harm of the condition in the first place.

4 And if you think about prevention across
5 these different levels you can come up with different
6 interventions that you could put into play. So, as I
7 talk about preventative services, I want to be clear
8 that we're talking about delivering services to the
9 individuals who are asymptomatic or who are recognized
10 to have the condition.

11 And there are a range of things that we do in
12 primary care. We counsel, we give preventative
13 medications, and we screen, so oftentimes in this room
14 we focus on screening, but it's important to remember
15 that there are these other components of prevention as
16 well.

17 I do also want to distinguish between
18 screening and surveillance, and when I talk about
19 surveillance, I'm talking about surveillance from a

1 clinical perspective, not a public health perspective.
2 So, screening is case detection at a single point in
3 time, so you know, there's a newborn, and I'm going to
4 test them for PKU.

5 Surveillance, again from a clinical
6 perspective, is an individual ongoing longitudinal
7 evaluation. So, for example, at the individual level we
8 get to know the child over time and can see how well
9 they're doing in terms of meeting their normal
10 developmental trajectories.

11 Again, surveillance in this way is different
12 than using data for public health practice, which is the
13 sort of public health term, but again this is a clinical
14 talk, and I just want to throw that term out there.

15 There are lots of places where recommendations for what
16 happens in primary care pediatrics come from, so there's
17 the EPSDT benefit, or the early and periodic screening
18 and diagnostic and treatment benefit.

19 These are requirements for Medicaid-enrolled

1 children. There's the Advisory Committee on
2 Immunization Practices that makes recommendations for
3 the immunization schedule. This one, I think most of
4 you have heard of it, the Advisory Committee on
5 Heritable Disorders in Newborns and Children.

6 There's Bright Futures, which is a
7 cooperative agreement between HRSA and the American
8 Academy of Pediatrics. I'm going to dig into this a
9 little bit more. Dr. Calonge mentioned the U.S.
10 Preventative Services Task Force, recommendations that
11 come forth from them, that our A and B recommendations
12 are covered first dollar through the Affordable Care
13 Act.

14 But so are things that are in Bright Futures.
15 There's some caveats that are complicated, but you can
16 think of it as leading to coverage. And then there's
17 the Community Guide, which makes recommendations around
18 community-level preventative services.

19 So, I had mentioned before, Bright Futures is

1 this cooperative between the Maternal Child Health
2 Bureau and the American Academy of Pediatrics. It's
3 evidence-informed series of recommendations. It really
4 comes in two different components. There's the
5 Periodicity schedule, which I'm going to show you in a
6 second, which is the list of expected preventative
7 services that happens at each routine well child check.

8 And then there's a book, which is very thick,
9 that goes over how to implement comprehensive well-child
10 care. It's the Periodicity schedule that carries the
11 weight of the Affordable Care Act. So, I put this up
12 just to show you, and I don't expect anybody to read
13 anything. You couldn't even if you wanted to, but shows
14 all the different preventative services that are
15 included in the Periodicity schedule.

16 So, if you look at the columns, those are the
17 individual well-child visits from infancy to early
18 childhood, to middle childhood, to adolescents, and then
19 if you look across in the rows, those are the general

1 preventative service categories which include history,
2 measurement, screening, development, and so forth.

3 Wherever you see a dot, which hopefully you can see a
4 whole bunch --- a whole sea of dots, those are where the
5 expectation is that that preventive service will be
6 delivered at that particular visit-.

7 In contrast, there's the book, which is like
8 a huge tome, that describes the 32 age specific visits
9 that are recommended, and the book itself really boils
10 down to focusing on caregiver concerns, childhood
11 development, and positive reinforcement for families.

12 Across the various visits are themes. I'm
13 not going to read it through each theme, but you can see
14 how this really hits all the things that are important
15 for child development and overall wellness.

16 The Bright Futures recommendations also
17 outline the visit structure which focuses on
18 understanding the parent and child concerns,
19 surveillance and screening, assessment of strengths, and

1 then various age-based visit priorities as the child and
2 teen ages -- obviously the priority for each visit
3 changes a little bit.

4 So, as I talk about through things, I always
5 try to keep a life course perspective, and so the things
6 that we're going to focus on ultimately we're moving
7 towards a healthy trajectory, and as a child ages
8 there's things that we can do to promote the healthy
9 trajectory, so focusing on things like emotional health,
10 literacy, reading and so forth, while at the same time
11 avoiding those things that can decrease the trajectory,
12 including poverty, poor access to care, toxic stress,
13 and so forth.

14 As part of the work that we do as primary
15 care pediatricians, it -- is focusing very much on the
16 social determinants of health. These are the conditions
17 in the environments where people are born, live, learn,
18 work, play, worship and age that affect the wide range
19 of health outcomes. These can be broken into domains of

1 economic stability, education access and quality, health
2 care access and quality, neighborhood and build
3 environment, and the social and community context.

4 Everyone I hope hears a lot of about social
5 determinants of health. I actually tell you I did not
6 like the term social determinants of health because if
7 you call it a determinant, then you're sort of implying
8 that an individual who has been exposed to these things
9 is doomed, and so I really try to use social drivers of
10 health, and there are things that we can do to affect
11 these things.

12 Oh, I think I am now of --- oh, so I need to
13 pretend like my screen didn't- change. So, I am, in the
14 interest of time, going to skip through some stuff on
15 the U.S. Preventative Services Task Force, other than to
16 say that it makes evidence-based recommendations, and I
17 think everyone has seen the grades that comes up before
18 A's and B's as things you ought to do. D's are the
19 things you shouldn't do. I refer to them as don't do.

1 The C's are the things that maybe have a
2 benefit, and you want to talk to families and see if
3 this is something that they want, so I think a C is have
4 the conversation, and the I's are where the evidence is
5 insufficient, and you have to look towards other things
6 to make recommendations.

7 So, with that as a background, I just want to
8 tease out some stuff that I see as different between
9 newborn screening, what happens in well-child care. So,
10 newborn screening obviously happens in the newborn
11 period, so it's only early in infancy. It's basically
12 once or twice, if you're in the two-screen state, and
13 then you're done.

14 There is near universal access to screening.
15 Families really don't have much of an option about
16 newborn screening. I guess the only option is whether
17 to be screened, or not to be screened, but it
18 essentially happens. And then there's just strong
19 public health involvement through at least diagnostic

1 confirmation, and you know, in some cases a little bit
2 longer, but at least there is this important role that
3 the public health plays.

4 As opposed to well-child care, right, that
5 happens at any change, it's repeated, it can be informed
6 by surveillance, and other personal factors, including
7 concerns that might come up from the family. It's
8 certainly not universal, right, so we know that there
9 are issues related to access and variations in quality.

10 There is an opportunity for shared decision
11 making, right? So you can't really have like a USPSTF
12 category and talk to families about whether or not they
13 are going to be screened for some things as part of the
14 newborn screening, but as part of this kind of thing you
15 can, right?

16 And then, generally as part of well-child
17 care there is no public health involvement. There's
18 some exceptions, things like lead screening, and that
19 kind of thing, but generally not. So, I think a lot

1 about the importance of well-child care to address
2 disparities, and again, we could talk for a long time
3 about disparities, and I know that that's not the focus
4 here, but I do think it's important to bring up.

5 So, this is a slide of infant mortality in
6 Ohio, over a ten year period of time, and it shows the
7 difference between Black infant mortality and white
8 infant mortality at the bottom, and then you could see
9 the average Ohio infant mortality rate over time in the
10 dark black line. And in all of our work, in all of our
11 quality improvement work, we break things up by race and
12 ethnicity to make sure that we're, first of all, not
13 causing disparities, and that we're addressing the root
14 causes of those disparities that exist. Because, you
15 know, on one hand, if you just looked at sort of like
16 relative improvement, right, so there is this
17 improvement over time in the Black infant mortality rate
18 in Ohio, and in the white infant mortality rate in Ohio.

19 But that's a huge difference, right? This is

1 something that we should be all upset and invested in,
2 and as I go through and talk about some particular
3 activities, I just want you to keep this in mind. The
4 root causes for these disparities are complicated,
5 again, I'm happy to talk about it, but it's hard to
6 think about any aspect of health care delivery, or
7 health outcomes where you don't find these kinds of
8 disparities.

9 The other thing that I think about is, and
10 we're going to drill into the work that we do within our
11 primary care network, is the causes of death, and when
12 they occur. I showed this slide not to pit one group
13 against another group in terms of what's important and
14 what's not important, but just to provide context.

15 So, you know, in the early ages birth defects
16 are around 3 per 100,000, this is in the ages one
17 through four, but then if you look at in the older
18 groups, it's things like unintentional injuries and
19 suicides that really dominant the causes of mortality in

1 the United States right now for children and adolescents
2 one and older, the leading cause of death is related to
3 firearm injuries.

4 So, again, we think a lot about what's
5 causing harm to the patients and families that are in
6 our responsibility. And that's led to something that I
7 refer to as the vital signs project. This is a listed,
8 targeted preventative service activities that the
9 network that I'm going to describe in a second focuses
10 on.

11 We already talked about infant mortality,
12 Kindergarten readiness is another one, high school
13 graduation, the preventive care index is just a
14 summation of generally recommended preventative care
15 things like vaccinations, and those kinds of things.

16 Teen pregnancy, OBC, all child mortality and
17 suicide. So, as I show you a little bit about who we
18 are as a network, know that these are the things that
19 we're really focusing on, but we're also holding

1 ourselves to improve these outcomes for everybody who
2 lives in Franklin County, regardless of whether or not
3 they come to us for care.

4 We have an approach to quality improvement
5 that's based on standard quality improvement activities
6 with small tests of change. I'm looking to see the
7 difference it makes. This is who our network is. We
8 have 14 clinics around Columbus. We have over 130,000
9 unique patients that we provide care for with about
10 240,000 patient visits annually.

11 Nearly all of our patients are Medicaid
12 enrolled, and part of our accountable care association,
13 known as Partners for Kids. So, here's examples of
14 things that we've done with quality improvement. At any
15 given time we have about 30 different quality
16 improvement projects ongoing. So, you know, we look a
17 lot at access, right, so we're not going to be able to
18 deliver preventative care services if we don't get
19 patients in.

1 So, this shows our patients with six or more
2 well-child visits by 15 months of age, and you can see
3 that that went from about 45% to about 60% over time.
4 These are our patients with two or more visits between
5 15 and 30 months, and you can see that that's around 60%
6 as well. And then we look through the teen years.

7 And you can see that we try to label these
8 things as we each find different activities to get
9 people in. So, I show these slides just to highlight
10 the importance of thinking about access, and getting
11 patients in, if you want to be able to deliver the
12 preventive care services.

13 We follow things like immunizations. You can
14 see how we're doing in time over there. Here's the
15 adolescent immunization schedule over time. We drill
16 into specific services like HPV vaccination. Here's our
17 work around depression screening for children 12- to 17-
18 years-old coming in for well-child care.

19 And you can see how critically important it

1 is to follow how things are going on over time. April
2 2020, what happened then? I'm trying to like block that
3 one out. All right. And then it's not enough just to
4 look at how we're doing with screening, but we also look
5 at the patients who had a positive screen, and whether
6 or not they got recommended therapy.

7 And you can see how that's changed over time.
8 I showed this, I could have pulled this up really for
9 everything that we look at, this is our suicide
10 screening activities broken down by race and ethnicity.
11 It's sort of a messy little spaghetti diagram, but we do
12 this for all of our work, just to make sure that we're
13 recognizing disparities and developing interventions as
14 appropriate.

15 Here's an example of interventions that we
16 had to do in terms of increasing our use of interpreters
17 to ensure that there wasn't a disparity in developmental
18 screening by preferred language. There are dozens of
19 languages spoken by our patients. I show this just to

1 point out that all this stuff requires ongoing
2 measurements and practice. We re-designed, this is a
3 slide showing our access to care.

4 And what I would say is that if we really
5 want children to get the preventative care services that
6 we want, there are things that need to happen related to
7 the recommendations that need to be clear and
8 comprehensive. There needs to be a burning platform,
9 somebody needs to care about it and measure it. You need
10 to have process measures you can look at over time.

11 There needs to be quality collaboratives with
12 participation. You need to collect data in real time at
13 the practice and the clinician level. There's nothing
14 better than being able to go back to a clinic with
15 attending problems and show how they compare to their
16 peers.

17 As an aside, we do something that we refer to
18 as March Metric Madness, where we pit all of our
19 practices against each other during the NCAA tournament,

1 with a big trophy that gets awarded at the end. Again,
2 informatics tools, population focus and aligned
3 incentives. I didn't mention this before, but most of
4 those slides that I showed you of the control chart
5 showing how things were done over time, the denominator
6 is not who's coming in for care.

7 It's who is assigned to us, so it's really
8 important to think about things from a population
9 standpoint, right? If somebody is not getting a
10 preventive service because they're not coming in, we
11 need to be responsible for that and come and figure out
12 why they are not coming in. As a matter of fact we have
13 things like Lyft now, Lyft services to bring patients in
14 to get them, and we've developed a school-based health
15 program, so that we could get patients that aren't
16 coming into the clinic.

17 So, that was a whirlwind tour. I obviously
18 could have talked about things a lot more, right? I
19 just wanted to put up the learning objectives just so

1 that you could remember what we just went through. And
2 what was funny is last night as I was going through the
3 slides, and looking at things again, I don't know how
4 this happened, but look at that.

5 Okay. I don't want to tell you how many
6 hours I spent on that, and just in case you're wondering
7 I did not use ChatGPT. This was all me. This was all
8 me. So, I guess I'll just leave it right there, but I
9 think that there's really tremendous opportunity for
10 recommendations that come forth from this Committee to
11 help inform what happens as part of well-child care, for
12 things that maybe don't fit necessarily with newborn
13 screening.

14 And I would say that while the preventative
15 care services that happen within primary care are not
16 perfect. They can be really good, especially when you
17 can tie together just larger population perspective.

18

Committee Discussion

1
2 DR. CALONGE: Alex, are you willing to stand
3 up there, while you and Dr. Patrick answer questions?

4 DR. KEMPER: I would be honored.

5 DR. CALONGE: Let me --- let me start with
6 one intervention that, just because I've been involved
7 in it a lot and I know well. That was birth dose for
8 Hepatitis B. So, Colorado uses hospitals to develop, to
9 deliver the birth dose for Hepatitis B, but we're- well
10 over I think 98% success rates in hospitals.

11 So, as we get to birthing centers and home
12 deliveries it drops off quite rapidly, but are there
13 other examples of that kind of --- and Dr. Patrick, as
14 well, you talked a little bit about hospital delivered
15 standard of care interventions that you know about that
16 we've been successful in getting quite high
17 participation rates that don't look differential by
18 identities?-

19 DR. KEMPER: Yeah, I can talk about one

1 example then, Stephen. I was going to talk about
2 bilirubin, do you have like another example? So,
3 newborns are at risk for complicated reasons of having
4 high levels of bilirubin, and for some of them if you
5 don't treat them it can lead to a problem called
6 Kernicterus, which is a form of brain damage. The
7 current, I was actually the lead author on the new AAP
8 bilirubin headline, so I didn't feel like I had to put
9 that out there for whatever it's worth.

10 But the recommendations are for all babies to
11 have bilirubin levels checked before they are discharged
12 from the newborn nursery or go home, and then based on
13 the bilirubin levels, they either need treatment, or
14 need to have, you know, a lot of them need to have close
15 follow-up with primary care for follow-up bilirubin
16 levels.

17 The American Academy of Pediatrics just led a
18 gigantic quality improvement activity to confirm that
19 babies are getting bilirubin testing prior to discharge,

1 and that they're getting the appropriate follow-up,
2 including with primary care, based on that bilirubin
3 level, and it was really a smashing success.

4 Now, there were opportunities for improvement
5 in ensuring that children got --- there's actually a
6 bigger problem with over treatment than under treatment,
7 based on bilirubin levels, but I think that's- an
8 example of like a big win that this Committee could look
9 at.

10 DR. CALONGE: Dr. Patrick?

11 DR. PATRICK: Yeah. There are lots of
12 examples, actually. I mean like everything from Vitamin
13 K to antibiotic ointment at the time of birth to
14 congenital heart disease screening with a pulse oxy
15 prior to discharge, and everything in between. There
16 are a lot of standardized processes that occur that, you
17 know, if you just go around and look at an average state
18 perinatal collaborative, and the work that gets done,
19 sometimes complex, sometimes not, definitely.

1 I mean, another example would be, you know,
2 changes that we've seen around kind of promotion of
3 breast milk in hospitals. There are lots of examples of
4 just what you're talking about. You know, kind of
5 creating processes to make sure we get that first
6 Hepatitis B vaccine prior to discharge.

7 We're seeing the same thing around RSV
8 prophylaxis with some complexity right now, and who gets
9 it and who doesn't. But we can implement things within
10 hospital settings, and do them very quickly and modify
11 them. The congenital heart disease screening is a great
12 example of that where you know we do a pulse oxy screen
13 universally for infants that might be at risk of having
14 congenital heart disease that may not be apparent.

15 You know, these things were not something we
16 did 30 years ago, you know, these are processes that
17 occurred over time.

18 DR. CALONGE: Yeah, I'd like -- - and I often
19 bring up the --- - I did this morning, congenital heart

1 disease screening is a RUSP measure, but it's a RUSP
2 measure that people in screening programs and labs have
3 little implementation strategies. I think in Colorado
4 there's just a checked box that says yeah, we did it.
5 That'-s what the state does.

6 And so, implementing point of service tests,
7 are things that were --- and birthing centers can be
8 very important in terms of the rollout and the
9 strategy. -Melissa?

10 DR. PARISI: Melissa Parisi, NIH, so thank
11 you both for those presentations, a really great
12 reminder of some of the challenges and differences, and
13 opportunities for not only newborns, but childhood
14 screening, and identification. And I do want to say,
15 Alex, that having just recently taken my maintenance of
16 certification exam and having to review the 40-page
17 document on screening for hyper bilirubinemia, in
18 advance of that I'm grateful that you did it.

19 It is extremely long and detailed, but it is

1 exactly what the field needs, so.

2 DR. KEMPER: The check is in the mail. So, I
3 will tell you that I got the MOC bilirubin question. I
4 was so nervous I was going to get it wrong.

5 DR. PATRICK: I had that question last month
6 too, that series of questions, and I don't have positive
7 feelings toward Alex, I'm just kidding.

8 DR. PARISI: I have a little bit sarcasm in
9 my voice in that, but in all seriousness, all joking
10 aside I should say, I did have kind of a question and a
11 comment for you, Alex. When you showed your graphs of
12 how you had achieved some of your goals of 60%, or
13 approximately 60% of your population actually meeting
14 those targets for coming to clinic and being seen for
15 well child evaluations.

16 There were a couple things there like little
17 arrows saying this is the intervention that caused those
18 things to go up. And one of them was Epic notification,
19 and one was also opening a clinic in a high opportunity

1 area. And I just wondered if you could say a little bit
2 more about those interventions, and what they consisted
3 of, and how effective they were?

4 DR. KEMPER: Yeah. So let me take the second
5 thing first. So, I have a heat map of all the patients
6 that are attributed to us, and I also know where
7 populations are that aren't coming in, and we use that
8 to drive where we open our new clinics. And we also use
9 that to drive how our clinics are set up.

10 So, we have a hub and spoke model where we
11 divided the city up into five quadrants, and in each of
12 the quadrants there's one clinic that's bigger, and we
13 bring services into there, that we know that families
14 are not accessing, that they would benefit from.

15 So, for example, dental services, speech and
16 language services, those kinds of things. We're also
17 bringing in a psychiatrist into the hubs as well. I did
18 mention before we actually am very proud of this. We
19 have a psychologist in all of our clinics, and I can

1 tell you the secret later about how we were able to do
2 that.

3 So, we use real time data to drive all of our
4 clinical operations. Epic is --- we- use our electronic
5 medical record judiciously for sending messages, and
6 engaging families, because we know that if we put too
7 many alerts in there, people get alert fatigue, and they
8 completely ignore them.

9 The other thing that we're doing now is we
10 have a text system for families to deliver messages
11 about when they're due for things, and that kind of
12 thing. So, we try to leverage the electronic medical
13 record in a way that's actually useful and not
14 overwhelming for people.

15 DR. CALONGE: Ash?

16 DR. LAL: I have a two-part question. The
17 first is just for information. When we talk about
18 differences in infant mortality, I am looking at Dr.
19 Patrick's presentation before that, I was just wondering

1 what component of the infant mortality differences could
2 be explained by the perinatal events, and what are due
3 to things that happen after the first 28 days or?

4 DR. KEMPER: Yeah. So, we, and well, let me
5 talk to you about what we do first, and then I think Dr.
6 Patrick can probably give a sort of a broader
7 perspective on things. So, like most communities, we
8 have a child fatality task review, and we look at each
9 infant death to identify the root causes.

10 So, although there's no question that things
11 like prematurity lead to some of these deaths, a lot of
12 these deaths, the lion's share of them were potentially
13 preventable, so things like -- - and- those sorts of
14 things that in retrospect interventions could have been
15 done to prevent those deaths.

16 So, I'm very careful. I mean there's no
17 question that prematurity and other early events like
18 that that it's too late for us as pediatricians to
19 necessarily prevent, lead to some of these deaths. You

1 can't pin most of them on that, certainly not in our
2 community.

3 DR. PATRICK: Well, I'm happy to sort of to
4 dive into this just briefly. If you look at maternal
5 deaths, maternal mortality, and you look at infant
6 deaths, you see a lot of themes. And those themes that
7 you see are huge disparities. We see the same huge
8 disparities in maternal deaths too. Black moms are
9 about three to four times as likely to die as white
10 moms.

11 Those same issues of equity are there for
12 American Indian and Alaskan Native populations too. So,
13 I view this as dyadic care, that it's our responsibility
14 to care for mom and baby because you know, we see, let's
15 use the opioid crisis or substance abuse as an example.

16 You know, treating for substance use overdose
17 is a leading cause of pregnancy-associated death, and in
18 states like Georgia, Tennessee, Ohio, it is the leading
19 cause. So I can approach this from healthy moms have

1 healthy babies, and you know it's true. That the three
2 leading causes of death for infants is prematurity,
3 birth defects, and sleep related deaths.

4 But those broader issues of equity and
5 systemic racism, I think drive through the force of all
6 of this. So, when we can look at this holistically, and
7 think about what do we do to begin to address the
8 prematurity rate by looking upstream from a public
9 health perspective, like the primary, secondary,
10 tertiary approach that Alex pointed to earlier. I think
11 that's important as we think about this.

12 So for example, we know that treatment of
13 substance use in pregnancy reduces risk of pre-term
14 birth. Pre-term birth is associated with mortality.
15 Like, that view of maternal infant mortality I think is
16 really important. And what we see happening are
17 parallel conversations often, where we see a focus on
18 the unacceptable maternal mortality rate that's
19 happening in the U.S., that's around 900 deaths.

1 And we see separately a focus on the infant
2 mortality, and those are around 35 --- I'm sorry, 25,000
3 deaths. I think we're better off if we look at them
4 together because the through point in terms of I like
5 the social drivers of health, are more similar that way,
6 and the interventions, while some are clinical, more
7 broadly there's a lot of things that happen outside that
8 I think are pretty important-.

9 I hope it answered your question. It was not
10 too much of a broad view of maternal infant mortality.

11 DR. LAL: Thank you. My second question to
12 Dr. Kemper is the ---- in high risk infants require both
13 family care as well as specialty care, and I just wonder
14 if from your experience if you think that has it been
15 relatively simple to organize and coordinate care
16 between the specialty center and the primary care
17 physician, or are there things that you think need to be
18 improved during the communication and coordination of
19 care?

1 DR. KEMPER: Yeah, so if I understand your
2 question right it was about, you know, sort of
3 coordinating things between the primary care pediatric
4 side of things, the OB, the internal medicine side of
5 things that are taking care of the families, as well,
6 or?

7 DR. LAL: No. This is postnatal. This is
8 between the specialty ---

9 DR. KEMPER: Oh, between the specialties.

10 DR. LAL: Between the specialties and the
11 primary care pediatrician.

12 DR. KEMPER: Yeah. So, we found you know the
13 interventions that we found that have made the biggest
14 difference are really primary care based interventions.
15 I mean I'm like to be right in a coordinated academic
16 center where we have pretty good access to, you know,
17 the sub, sub, sub, sub-specialists, but you know, one of
18 the key things that I hope everyone gets out of this is
19 the differences that we've been able to make in child

1 health outcomes have really been built within primary
2 care.

3 I mean to go back; I just feel like I want to
4 build on the comments that Dr. Patrick made about
5 focusing on maternal and child health as well. So, our
6 city has a program called Celebrate One, which is
7 focused on getting children through to their first
8 birthday, so celebrating the first birthday.

9 And there are a ton of interventions that we
10 have going on, both within primary care, as well as
11 outside of primary care within the community to help
12 ensure that the children are healthy when they get to
13 one year of age. So, it's true that we definitely need
14 our subspecialty colleagues, but it's actually --- it's
15 pretty straightforward for us to get in with them as we
16 need them, but and I hope this is ----- if my specialty
17 colleagues are listening, and Margie over there, who is
18 like a huge help for in neurology.

19 But most of the improvements that have been

1 made have been made by focusing on what we can do within
2 primary care.

3 DR. PATRICK: Honestly, I think all of it is
4 from neonatology, I'm just kidding. I would also just
5 like to put a pin on that, like in our system, the
6 system that I was previously a part of, that's
7 subspecialty care. So, let's take Hepatitis C. Infants
8 exposed to Hepatitis C got a referral to the pediatric
9 liver clinic. Like talk about super specialized.

10 You go like GI, and then liver, to test for
11 Hepatitis C exposure. Well that certainly doesn't need
12 to be a tertiary care piece. So, like as we work to
13 support through some of our programs at Vanderbilt, one
14 of the things we built out is how do we support primary
15 care, how do we provide the support to our rural
16 communities to not just do things like test Hepatitis C,
17 which is lost, but also to be a resource when you see,
18 you know, we might see a ton of opioid-exposed infants,
19 but that rural practice may see three a year.

1 So, how could we help support that? I think
2 that's part of developing a sustainable model,
3 particularly as we are looking towards the future of
4 pediatrics, where we're starting to lose subspecialties,
5 and there's substantial concern about what our workforce
6 is going to look like in the future. We're going to
7 have to think about things differently.

8 DR. KEMPER: And again, just to build on that
9 too, because you sparked another thought, which is we
10 need to reward pediatricians based on the quality of
11 care that they provide, not the volume of care that they
12 provide, right? So, you know, we have you know, many
13 people like rely on their RVUs --- Dr. Ostrander is like
14 I think he rolled his eyes a little bit when I said
15 that, but that's- what we do, you know.

16 DR. CALONGE: So, one of the reasons I really
17 focused on the hospital setting is you know it's kind of
18 like why do we do newborn screening tests in the
19 hospitals? You know, it's really sudden, it's where

1 they're born, it's a universal not a sight, I'm sorry,
2 almost --- how about the majority, the vast majority
3 of- children go through the hospital in terms of
4 beginning life.

5 And so, I always think of those hospital
6 interventions. My question, so I talked about a
7 success. I'd like to get your ideas about areas where
8 we haven't done so well in terms of the practice of
9 pediatrics outside of the hospital setting. So, I think
10 everyone knows what the EPSDT is, and in that set, which
11 is a Medicaid program, there's payment for universal
12 lead level testing.

13 I'm in public health. I believe in lead
14 level testing, and when we look at least in Colorado at
15 our success rate, in Medicaid patients for whom
16 clinicians are already being paid to do the test, you
17 know, our first year success rate is under 50%. And our
18 second year of tests, putting them together is under
19 22%.

1 So, what are the levers that you think we
2 could push outside of the hospital to think about
3 screening because somebody said it earlier, we are the
4 Advisory Committee for Heritable Disorders of Newborn
5 and Children. What kind of strategies, other than Epic
6 or some other, which most of these clinicians have, do
7 you suggest, or are there ways we can address to get
8 screening for other heritable disorders outside of just
9 the newborn setting in the hospital, and more broadly
10 into the pediatric care community.

11 DR. KEMPER: Well, I mean my
12 understanding -- so, I'm going to lead by saying that
13 our lead testing rates, both at one and two years of age
14 are in excess of 95%. So, well I mean it's true, and
15 that's at a population level. So, I would go back to
16 the slide that I had before, that you need to have, you
17 know, a clear and unambiguous recommendation.

18 There needs to be a burning platform. There
19 needs to be a quality improvement collaborative to get

1 it done. Somebody needs to be on the hook. There needs
2 to be responsibility for ensuring that this happens.
3 You need close to real time data, and you know, if
4 people are underperforming, then that needs to be made
5 clear.

6 We also had, again this was like a whole
7 other -- I could talk for hours about this, so I
8 apologize to everyone, but we found that our most
9 successful interventions to also engage people from the
10 community to help us think about practice redesign,
11 because we sort of get blinded to what needs to be done.

12 But I mean, I think all this stuff is doable,
13 we just need to have all the incentives aligned, and the
14 expectations.

15 DR. PATRICK: Yeah, so I'll be a little more
16 pessimistic if that's all right. Like I, the reason why
17 --- I think we have systems where the incentives aren't
18 aligned, and the maternal child health in particular
19 we- have fragmented systems that exist in health and

1 human services, that are often siloed.

2 We don't have a whole child view, like yes,
3 we have communities, we have it here, but if I go to you
4 know, North Georgia, or I go over to like if we're
5 thinking about creating equitable systems that are
6 across the entire U.S. then we have to think about how
7 the systems are put together.

8 You know, we have a challenge with, you know,
9 generally lower Medicaid reimbursement in many
10 communities. Medicaid is one place though that many
11 states have multiple different Medicaid, managed care
12 organizations who may have part of the state. How well
13 does that group work with everything from the foster
14 care system to early intervention services to, in my
15 experience those are usually pretty siloed.

16 And then, you know, Dr. Kemper mentioned data
17 systems, well most state data systems are also siloed,
18 you know, you might have Medicaid data here, you have
19 IDEA Part C data here, those systems don't talk, and if

1 you wait to get those systems, those data systems
2 federally, they're multiple years old.

3 So, in maternal child health I think we have
4 to find a place where we can begin to align incentives
5 that are focused on the holistic child care that include
6 the things that matter as we're thinking about social
7 determinants, or drivers of health where we can, you
8 know, create incentives in Medicaid that incentivize
9 human services outcomes, like decreasing foster care,
10 and vice versa.

11 I think that is what the future needs to look
12 like. And it's worth saying if we compare children and
13 newborns to seniors, one of the differences is the way
14 systems are structured. We have one Medicare program,
15 we have more than 50 Medicaid programs, and many of the
16 systems that serve the patients we serve are a mix of
17 entitlements and block grants that are administered
18 everywhere from federal, state and local.

19 And I think that's part of the confusion, and

1 part of the siloing that we see.

2 DR. KEMPER: Yeah. I think ---- just to
3 piggyback on that too. You know, certainly there are,
4 you know, issues with Medicaid and lack of Medicare
5 parody, and those kinds of things, but private insurance
6 has a lot of problems, and for example, we have you know
7 patients with high deductible insurance plans, and even
8 though they get a lot of their preventative care
9 services covered first dollar and sort of all the add
10 ons that happens downstream.

11 And so, families get concerned about spending
12 money with their health savings accounts, so I don't
13 want to give ---- I just want to point out that private
14 insurance is not the panacea, that there are many
15 children with private insurance who I think are getting
16 lower quality of care than children who are enrolled in
17 Medicaid.

18 DR. PATRICK: To be clear, I wasn't saying
19 that about Medicaid.

1 DR. KEMPER: Oh, no.

2 DR. PATRICK: Medicaid is such a big player.
3 You know, half of births at least in the U.S. may be
4 increasing, it is a critical partner for care and
5 provides excellent services like EPSDT. Medicaid is
6 critical, and I think it has to be part of the solution.

7 DR. KEMPER: Yeah. It's like 65% of all
8 children.

9 DR. CALONGE: And I didn't mean to throw
10 pediatricians under the bus.

11 DR. KEMPER: You're going to have to answer
12 to them.

13 DR. CALONGE: We don't have the burning
14 platform. We're a low lead state. It doesn't mean
15 we're a no lead state, so that's the issue that we are
16 always facing. All right. So, let me turn to our Org
17 Reps. Oh, sorry. Oh, Jennifer, I'm sorry, and then
18 Jeff.

19 DR. KWON: That's okay. Well, I just wanted

1 to maybe come to Colorado pediatrician's defense. I
2 think that I was just really struck by how wonderful Dr.
3 Kemper's data looked, but I think that you also have to
4 recognize the circumscribed scope of the clinics that
5 you're describing, as opposed to a statewide look where
6 you're bringing in, you know, like rural areas that are
7 quite far from like even a pediatrician, right?

8 Their pediatric care comes from advanced
9 care, advanced practice provider who is affiliated with
10 the family practice group, et cetera, so I think that
11 that's just one of the things I wanted to throw out
12 there. And that it's those disparities, and all this
13 fragmentation as Dr. Patrick pointed out in Medicaid
14 services, and even in what private insurers will cover
15 that really makes it difficult to standardize what we
16 want, you know, early childhood care to look like.

17 DR. CALONGE: Thanks, Jennifer. Jeff?

18 DR. BROSCO: So, one of the reasons why we
19 asked Stephen and Alex to do these talks, and answer

1 some of the questions that keep coming up. So for
2 example, we heard just today from Debra and Susan and
3 others, how is this care meeting the needs of all kids,
4 right, because that is something that we have to be
5 concerned about.

6 And I'll give you at least one example.
7 Connecticut is a small state, but at least it's
8 state-wide, and they presented a couple of years ago.
9 We should have them back, where they link their newborn
10 screening positive results to, and they have a
11 contractor at Connecticut Children's Hospital that works
12 with Yale, and they have demonstrated over the last few
13 years that pretty much every single child with a newborn
14 screening result is being followed up across the state.

15 And in terms of following up in clinical
16 guidelines for sickle cell, hypothyroid, and a few other
17 things, they're making dramatic gains, about 20 points
18 over the last two years. And they have shown at a
19 population level how if you have the proper systems, all

1 the things that Alex mentioned, you can have a huge
2 impact.

3 So, there are examples around that do that.
4 The other reason why we had you guys doing these talks,
5 and this has to do with what Michele mentioned before
6 about we are the Advisory Committee for Newborns and
7 Children, and sometimes we get so focused on the state
8 public health lab approach, that we forget there's a
9 whole range of other things.

10 And so Alex, I'm going to actually ask you,
11 and you had that slide that had well-child care
12 screening and surveillance and newborn public health
13 screening, and I wonder if it's less of a clear
14 contrast, and more of a continuum, right? So, we've
15 heard examples of okay, if it's a state mandate, and the
16 dry blood spot goes to the lab, we're pretty universal.

17 But not a lot of shared decision making. But
18 then as you pointed out, Stephen, in the newborn period,
19 almost every baby is going to get antibiotic ointment in

1 their eyes, they're going to get a Vitamin K shot,
2 they're going to get their glucose checked, so there's a
3 whole range of things that happen pretty universally.

4 And as you get to the vaccines at two months
5 of age we're really good. As you get to vaccines as an
6 adolescent it drops off, and it's more of a continuum.
7 And so one of the things for us to think about is what's
8 the best place for screening? Is screening part of a
9 state public health mandate, or does it fit better as a
10 hospital protocol standard of care, or does it fit
11 better as a clinical practice later on.

12 And we asked them both to talk about quality
13 improvement to show that there's clearly a drop-off in
14 equity, but there are ways of addressing that and making
15 it better.

16 DR. CALONGE: Thanks, Jeff. All right.
17 Again, I lost track of the order, so I'm going to go in
18 reverse order and start with Debra.

19 DR. KEMPER: Do you want me to comment? I

1 mean I can comment, I agree.

2 DR. CALONGE: See, I knew you did. There we
3 go.

4 DR. FREEDENBERG: I have a number of
5 comments, but I'm going to start out with one that is
6 totally not evidence-based driven, but when Texas went
7 to implement its CCHD screening before the state
8 mandate, it went into effect that hospitals were using
9 it as a marketing tool, and it had greatly improved the
10 number of kids that were being screened before we even,
11 you know, were officially requiring that screening, so
12 there are other ways.

13 A couple of things on the pediatricians,
14 there are ways to impact things like there are lots of -
15 -- lots of echo projects, which is basically educating
16 the physicians to help educate the folks around them as
17 well on any given issue. And a lot of also other QA
18 projects are when I know that there have been times when
19 we couldn't do things as a state, but we turned it over

1 to our maternal and child health, or perinatal
2 collaboratives, and asked them to take things on as a QA
3 project, and that really -- -some of them they didn't
4 take, and some they did, and some of it really impacted
5 that and improved that quite a bit.

6 And so, I think that there are lots of levels
7 for intervention, and there are lots of creative ways to
8 incentivize things. And you know, in terms of the
9 EPSDT, the early programs, my understanding is that in
10 Texas that if the pediatrician does not check all the
11 boxes, they don't get paid. That's a big carrot and
12 stick approach, and so everything on there has to be
13 done before they get their reimbursement for their
14 visit.

15 I don't know if that's true in other states
16 as well, but that was my understanding of the way it
17 worked in Texas, so yes, everything got done. But it
18 was a lot of the things also were approached as QA
19 projects, and they were when the state was unable to do

1 it themselves, we reached out through our colleagues,
2 and we had some incredibly committed pediatricians and
3 champions that helped work throughout the state to make
4 certain that those QA issues were incorporated when we
5 couldn't do it as a mandate, it went over to QA
6 projects.

7 DR. CALONGE: Thanks, Debra. Bob?

8 DR. OSTRANDER: Robert Ostrander, American
9 Academy of Family Physicians. I got pulled into this
10 world in 2002 when my practice got chosen to be part of
11 MHLC's, Medical Home Learning Collaborative for Children
12 with Special Healthcare Needs, and it slowly morphed
13 into genetics involvement.

14 But it was a very early effort to spread the
15 notion of short cycle change in PDSA cycles. I know you
16 both talk about these, and my comment is more generic
17 about what happens when we don't do the study part of
18 PDSA, because that's what I've seen has been the big
19 problem at a couple of levels in my life. And one is

1 the smaller hospitals that may not be as sophisticated
2 as yours.

3 And the other is legislation where the
4 original chain isn't circumscribed either in terms of
5 time and number, and there's no pause taken to study and
6 decide what you're going to do. I give a lecture on
7 this to the fellows at Rochester, or was at least for a
8 while every year.

9 You know, sometimes they should simply be
10 abandoned, often it should be modified, sometimes it
11 should be spread and done in a larger way, but what I
12 see is outside of sophisticated programs like yours that
13 clearly are doing exactly what they're supposed to be
14 because you're monitoring your change, and those graphs
15 show these nice little step wise improvements, or a
16 stall and then a bigger improvement because they've done
17 that, is making sure that people do that stop study, and
18 then modify, because you know so much gets put into
19 place that is either poorly designed, or actually

1 negative.

2 I mean legislatively, part of it is you know,
3 my own vent on the wrong people telling us what to do,
4 and then again, my small institutions, and we end up
5 having work added and checked boxes added to our day
6 that keep us from doing the steps we need to instead of
7 improving care.

8 DR. PATRICK: I want to just respond to one
9 thing real quick, which is the notion of how do you do
10 quality improvement in small, under-resourced
11 environments? And they're really good models for that.
12 State perinatal collaboratives do that very well. It's
13 generally one volunteer, often a nurse who volunteers at
14 a you know, level one hospital.

15 We've seen birth hospital who may have a
16 small volume of births, but that's where the structure
17 of perinatal collaboratives is so extraordinary, because
18 it provides an infrastructure for the federal support
19 and at the state level that includes a lot of volunteers

1 at these small hospitals to prioritize, okay, what do we
2 want to improve, and where do we want to improve it?

3 And state perinatal collaboratives have been
4 incredibly successful at driving statewide change from
5 everything towards early-term deliveries, if you
6 remember that when we were delivering babies early,
7 before 39 weeks. And it's not even talked about anymore
8 because of state and perinatal collaboratives.

9 So, I just we can do this, and so there are
10 good examples of it. I would agree with some previous
11 comments, which is that, you know, the hospitals are
12 where the babies are, but we can drive positive change
13 at hospitals, and we can do it in small, rural
14 communities if we right size it to what the needs are of
15 those small communities.

16 DR. KEMPER: And if I can build on this too.
17 So, one of the things that always upsets me is so when
18 these quality improvement projects all fall on the
19 clinician, the pediatrician, or the nurse practitioner

1 to be the agents of change, and you know, if you can
2 only work harder and check these boxes, then things will
3 be better.

4 I would say that the biggest improvements
5 that we've had have been things that have been
6 completely outside of the clinician that is actually
7 made the clinician's lives better. So, when I showed
8 you the dramatic improvement in early well child visit
9 rates, all that was done based on having the when
10 families checked in, getting their subsequent visit
11 scheduled as they check in for their current visit, so
12 there's like small things that you can do that can make
13 a huge difference, and it can't all fall to the
14 pediatrician and the nurse practitioner, or whoever to
15 do everything.

16 DR. OSTRANDER: I'm just going to answer, I
17 think that's one of the key lessons that we learned even
18 in that original learning collaborative, that the people
19 brilliantly set that up with a physician, a staff

1 member, and a parent partner. That's how that was run,
2 and it became the model for our small free physician
3 practice, and we made all sorts of, like you said, a
4 small size can be wonderful if people know, and if we
5 stop.

6 I think the very last one I did, or nearly so
7 before I retired from day-to-day practice, was one to
8 get the EMR to prompt us to see if the newborn screening
9 results were back at the two-month follow-up.

10 DR. KEMPER: Yeah, those are great examples.
11 That's a great example.

12 DR. CALONGE: Sabra?

13 MS. ANCKNER: Thanks. Sabra Anckner from the
14 Association of Material and Child Health Programs, which
15 I would just like to shout out as your resource for
16 these things, your Title V and Children with Special
17 Healthcare Needs Programs in all jurisdictions exist,
18 and would love to have this conversation with you, as
19 that is very much part of their programmatic mandates,

1 is all of these things in driving -- they have to report
2 metrics on many of these things, and we very much like
3 to have additional partners to work on them with their,
4 you know, always at the table with POCs, with EMSCs,
5 with many of these programs.

6 And so I just want to flag a couple of things
7 that I think we've talked about here -- we've glanced
8 upon. One is screening without knowing what to do with
9 the result is a real problem, and I think that that is a
10 lot of when you get resistance to running a test to
11 collecting even a blood let level, is what am I doing
12 with this? Do I know how to interpret this result? Do
13 I know what I'm doing with the result?

14 And a lot of times the answer to that is no,
15 so it really has to be much like we know that the
16 consequences in the, you know, blood spot screening
17 world of [noise intended to convey uncertainty], I don't
18 know what to do with that information. That goes for
19 all screening. That goes for developmental screening,

1 that goes for behavioral health screening, so keeping
2 that in mind.

3 And I did write with AAP a seven or eight
4 page guidance on developmental screening for non-
5 clinicians, for folks that are doing that in the public
6 health space, so those resources do exist on that. And
7 the other thing that I want to say is that I've been
8 thinking a lot lately on -- when does newborn screening
9 begin, you know, and I think CCHD is a perfect example.

10 I've just recently been having a conversation
11 with a fetal cardiologist who really gets upset when
12 somebody is identified on the CCHD screen, right? They
13 want everybody diagnosed and identified essentially in
14 utero, right? They want them all at the 20-week
15 ultrasound, which is a screening, right?

16 The 20 week ultrasound does not find out the
17 gender of your baby, it is a screening, and you know,
18 with the quad screen is a screening, and maybe it is
19 really time that we start thinking about screening as

1 something that occurs across the lifespan that begins in
2 the perinatal period and extends on, and really consider
3 them all as part of one package, and not just this one
4 point in time, at 24 hours when we do something.

5 DR. CALONGE: Thanks. Amy? Oh, you took it
6 down. Okay. Well, I really appreciate the
7 presentations. Thanks Alex, and thanks Stephen. I have
8 a real interest in thinking about newborn screening
9 recommendations, and specifically around how we might
10 create recommendations that don't require state
11 laboratories and state programs to do things that they
12 can't do, or are unable to do.

13 But still support the basic screening where
14 early detection will lead to better outcomes over time.
15 So, it's kind of almost it gets right back to the kind
16 of public health readiness, and ability to do screening,
17 and trying to expand beyond what you can do with the
18 dried blood spot more systematically to what you can do
19 in the system for these point of care delivered tests

1 that will be, or are currently associated with improved
2 outcomes because of early detection.

3 And going further back into the perinatal,
4 into the prenatal care period is kind of an interesting
5 concept as well. So, I appreciate the dialogue. I hope
6 --- I know I learned a lot. I appreciate the issues
7 that we talked about, and now you kind of understand a
8 little bit about why we have an acute interest in
9 exploring this a little bit further as a Committee.

10
11 **End of Day 1**

12 DR. CALONGE: So, I appreciate the time. It's
13 been an interesting day. And we have a really great
14 session tomorrow. We're going to consider a nomination
15 package, and hear about the assessment of the package,
16 and have a motion and a vote about potentially moving
17 that on to full evidence review, so I look forward to
18 public comments tomorrow, and hope everyone has a good
19 evening.

1 There is a session for Organizational
2 Representatives Orientation. It's in Room 5N54 which is
3 on this floor. It's that way. That direction behind
4 you, and I'll look forward to seeing interested Org Reps
5 there for that session. Otherwise, I hope everyone has
6 a great evening. We'll see you tomorrow.

7 COMMANDER MANNING: And I have one additional
8 announcement, and I'm going to make this announcement
9 tomorrow also. The November meeting, so the meeting
10 scheduled for November 14th through the 15th will be
11 virtual only, no in-person in November. Thank you, have
12 a good night.

13 DR. CALONGE: Thanks everyone.

14 (Whereupon the Advisory Committee on
15 Heritable Disorders in Newborns and Children adjourned
16 at 3:07 p.m.)