

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20

THE ADVISORY COMMITTEE ON HERITABLE DISORDERS
IN NEWBORNS AND CHILDREN
IN-PERSON/WEBINAR

HRSA HEADQUARTERS
5600 FISHERS LANE
ROCKVILLE, MARYLAND 20852 (Pavilion)
Friday, August 9, 2024

Table of Contents

1

2 COMMITTEE MEMBERS:..... 3

3 EX - OFFICIO MEMBERS..... 5

4 ACTING DESIGNATED FEDERAL OFFICER..... 8

5 ORGANIZATIONAL REPRESENTATIVES..... 8

6 Welcome, Roll Call, Opening Remarks, and Committee
7 Business..... 14

8 Public Comments..... 23

9 Metachromatic Leukodystrophy (MLD) Nomination
10 Process..... 65
11 Committee Discussion 87

12 Naming/Counting Condition ACHDNC Ad Hoc Topic Groups
13 (ATG): Updates and Next Steps..... 99
14 Committee Discussion 123

15 New Business..... 165

16

17

COMMITTEE MEMBERS:

Ned Calonge, MD, MPH (Chairperson)

Associate Dean for Public Health Practice
Colorado School of Public Health

Michele Caggana, ScD

Deputy Director, Division of Genetics
New York Department of Health

Janine Cody, PhD

Professor, Department of Pediatrics
Director, Chromosome 18 Clinical Research Center
Founder and President
The Chromosome 18 Registry & Research Society

Christine Dorley. PhD, MS, MT (ASCP)

Assistant Director, Laboratory Services
Tennessee Department of Health

COMMITTEE MEMBERS
(CONTINUED)

Jennifer Kwon, MD, MPH, FAAN

Director, Pediatric Neuromuscular Program

American Family Children's Hospital

Professor of Child Neurology

University of Wisconsin School of Medicine and Public

Health

Ashutosh Lal, MD

Professor of Clinical Pediatrics

University of California San Francisco (UCSF) School of

Medicine

COMMITTEE MEMBERS
(CONTINUED)

Chanika Phornphutkul, MD, FACMG

Professor of Pediatrics and Pathology and

Laboratory Medicine and Genetics

Director, Division of Human Genetics

Department of Pediatrics

Brown University

Hasbro Children's Hospital / Rhode Island Hospital

EX - OFFICIO MEMBERS

Agency for Healthcare Research & Quality

Robyn Sagatov, PhD, MHS, RDN

Senior Advisor

Child Health and Quality Improvement

EX-OFFICIO MEMBERS
(CONTINUED)

Centers for Disease Control and Prevention

Carla Cuthbert, PhD

Chief, Newborn Screening and Molecular Biology Branch

Division of Laboratory Sciences

National Center for Environmental Health

Food and Drug Administration

Paula Caposino, PhD

Acting Deputy Director, Division of Chemistry

and Toxicology Devices

Office of In Vitro Diagnostics

EX-OFFICIO MEMBERS
(CONTINUED)

Health Resources & Services Administration

Jeff Brosco, MD

Director

Division of Services for Children with

Special Health Needs

Maternal and Child Health Bureau

National Institute of Health

Diana W. Bianchi, MD

Director

Eunice Kennedy Shriver National Institute

of Child Health and Human Development

1 **DESIGNATED FEDERAL OFFICER**

2 **CDR Leticia Manning, MPH**

3 Health Resources and Services Administration

4 Genetic Services Branch

5 Maternal and Child Health Bureau

6
7 **ORGANIZATIONAL REPRESENTATIVES**

8
9 **American Academy of Family Physicians**

10 Robert Ostrander, MD

11 Valley View Family Practice

12
13 **American Academy of Pediatrics**

14 Debra Freedenberg, MD, PhD

15 Medical Genetics Consultant

ORGANIZATIONAL REPRESENTATIVES

(Continued)

American College of Medical Genetics & Genomics

Cynthia Powell, MD

Professor of Pediatrics and Genetics

Director, Medical Genetics Residency Program

Division of Pediatric Genetics and Metabolism

The University of North Carolina at Chapel Hill

American College of Obstetricians & Gynecologists

Steven J. Ralston, MD, MPH

Chair, OB/GYN

Pennsylvania Hospital

Association of Maternal & Child Health Programs

Sabra Anckner, RN, MSN

Acting Organizational Representative

Associate Director, Clinical & Community Collaboration

ORGANIZATIONAL REPRESENTATIVES
(Continued)

Association of Public Health Laboratories

Susan M. Tanksley, PhD

Manager, Laboratory Operations Unit Texas Department of
State Health Services

Association of State & Territorial Health

Scott M. Shone, PhD, HCLD(ABB)

Director, North Carolina State Laboratory of Public
Health

ORGANIZATIONAL REPRESENTATIVES
(Continued)

**Association of Women's Health, Obstetric & Neonatal
Nurses**

Shakira Henderson, PhD, DNP

Dean, College of Nursing - Chief Administrative Officer,
UF College of Nursing

Associate Vice President for Nursing Education, Practice
and Research - System Chief Nurse Executive, UF Health
University of Florida

Child Neurology Society

Margie Ream, MD, PhD

Associate Professor

Director, Leukodystrophy Care Clinic

Director, Child Neurology Residency Program

Nationwide Children's Hospital, Division of Neurology

ORGANIZATIONAL REPRESENTATIVES

(Continued)

Department of Defense

Jacob Hogue, MD

Lieutenant Colonel, Medical Corps, U.S. Army

Chief, Genetics, Madigan Army Medical Center

Genetic Alliance

Natasha Bonhomme

Vice President of Strategic Development

March of Dimes

Siobhan Dolan, MD, MPH, MBA

Professor and Vice-Chair, Genetics and Geonomics

Department of Obstetrics, Gynecology, and Reproductive

Science

Icahn School of Medicine at Mount Sinai

ORGANIZATIONAL REPRESENTATIVES
(Continued)

National Society of Genetic Counselors

Amy Gaviglio, MS, CGC

Founder and CEO,

Connetics Consulting LLC

Society for Inherited Metabolic Disorders

Susan A. Berry, MD

Professor, Division of Genetics and Metabolism

Department of Pediatrics

University of Minnesota

P R O C E E D I N G S

**Welcome, Roll Call, Opening Remarks,
and Committee Business**

DR. CALONGE: Good morning. I want to welcome everyone back to day two of the ACHDNC Meeting. Today we're going to begin with public comments, and we're going to shift to the nomination summary for Metachromatic Leukodystrophy, provided by the Nomination and Prioritization Workgroup.

Lastly, we'll have an update from the Naming and Counting Condition Ad Hoc Topic Group, and at this point I'll turn it over to Leticia for the roll call.

COMMANDER MANNING: Thank you. Good morning everyone. It's lovely to see all of you that made it here safely this morning. Lots of rain. I'm going to start with the Committee Members. From the Agency for Healthcare Research and Quality, Robyn Sagatov.

DR. SAGATOV: Here.

COMMANDER MANNING: Michele Caggana?

1 DR. CAGGANA: Here.

2 COMMANDER MANNING: Ned Calonge?

3 DR. CALONGE: Here.

4 COMMANDER MANNING: Carla Cuthbert from the
5 Centers for Disease Control and Prevention?

6 DR. CUTHBERT: Here.

7 COMMANDER MANNING: Jannine Cody?

8 DR. CODY: Here.

9 COMMANDER MANNING: Christine Dorley?

10 DR. DORLEY: Here.

11 COMMANDER MANNING: From the Food and Drug
12 Administration, Paula Caposino?

13 DR. CAPOSINO: Here. Hello.

14 COMMANDER MANNING: From the Health Resources
15 and Services Administration, Jeff Brosco?

16 DR. BROSCO: Present.

17 COMMANDER MANNING: Jennifer Kwon?

18 DR. KWON: Here.

19 COMMANDER MANNING: Ash Lal?

1 DR. LAL: Here.

2 COMMANDER MANNING: From the National
3 Institute of Health, Melissa Parisi?

4 DR. PARISI: Here.

5 COMMANDER MANNING: And Chanika
6 Phornphutkul?

7 DR. PHORNPHTKUL: Here.

8 COMMANDER MANNING: Now for the
9 Organizational Representatives. From the American
10 Academy of Family Physicians, Robert Ostrander?

11 DR. OSTRANDER: Here.

12 COMMANDER MANNING: The American Academy of
13 Pediatrics, Debra Freedenberg?

14 DR. FREEDENBERG: Here.

15 COMMANDER MANNING: From the American College
16 of Medical Genetics, Mira Irons?

17 DR. IRONS: Here.

18 COMMANDER MANNING: From the American College
19 of Obstetricians and Gynecologists, Mara Black? From

1 the Association of Maternal and Child Health, Sabra
2 Anckner?

3 DR. ANCKNER: Here.

4 COMMANDER MANNING: From the Association of
5 Public Health Laboratories Susan Tanksley?

6 DR. TANKSLEY: Here.

7 COMMANDER MANNING: From the Association of
8 State and Territorial Health Officials, Scott Shone?

9 DR. SHONE: Here.

10 COMMANDER MANNING: From the Association of
11 Women's Health Obstetric and Neonatal Nurses, Katie
12 Swinyer?

13 MS. SWINYER: Present.

14 COMMANDER MANNING: From the Child Neurology
15 Society, Margie Ream?

16 DR. REAM: Here.

17 COMMANDER MANNING: From the Department of
18 Defense, Jacob Hogue?

19 MR. HOGUE: Here.

1 COMMANDER MANNING: From the Genetic Alliance

2 Natasha Bonhomme?

3 MS. BONHOMME: Here.

4 COMMANDER MANNING: From the March of Dimes,
5 K.J. Hertz? From the National Society of Genetic
6 Counselors, Amy Gaviglio?

7 MS. GAVIGLIO: Here.

8 COMMANDER MANNING: And from the Society for
9 Inherited Metabolic Disorders, Sue Berry?

10 DR. BERRY: Here.

11 COMMANDER MANNING: Okay. And that's roll
12 call, and while I have the mic, I'm just going to go
13 over a few things. We went through them yesterday, but
14 just some reminders. This is a FACA meeting, and all
15 Committee Meetings are open for the public. If the
16 public wish to participate in the discussion, the
17 procedures of doing so are published in the Federal
18 Register, and as Ned stated previously, we will be
19 having public comments today.

1 Only with advance approval from the Chair, or
2 the Designated Federal Official, which is myself, may
3 public participants question Committee Members or other
4 presenters. In regards to --- oh, in regards to the
5 building. Visitors only have access to the fifth floor,
6 that's the floor that we're on. It's not one, it's
7 five-.

8 We are in the pavilion area. The cafeteria
9 is across the way there. There's a store back that way,
10 and there are bathrooms here, here, here, and here,
11 behind us, so lots of bathrooms. Visitors are not
12 allowed to take videos or
13 photographs in the building, and please do remain on the
14 fifth floor. Next slide please.

15 If you need to leave the building you will
16 need an escort to reenter, so we are encouraging folks
17 to stay in the building for the duration of the meeting,
18 but if you do need to leave, please notify HRSA staff.
19 There's a team of folks back in the corner there, if you

1 could just raise your hand if you need anything, they
2 can help you, thank you. -Next slide please.

3 If for whatever reason we have to evacuate
4 the building, you will evacuate the way you came in, and
5 there's a parking lot to the left of the building.
6 You'll see people moving out that way, and we'll just
7 walk out there together. Next slide please.

8 For ethics, I must remind all Committee
9 Members that you must recuse yourself from participation
10 in all particular matters likely to affect the financial
11 interests of any organization with which you serve as an
12 officer, director, trustee, or general partner, unless
13 you are also an employee of the organization, or unless
14 you have received a waiver from HHS authorizing you to
15 participate.

16 So, as in the case today when a vote is
17 scheduled, and there is a vote scheduled, or an activity
18 is proposed, and you have a question about a potential
19 conflict of interest, please notify me immediately. You

1 can also speak to the Chair. And I am going to turn it
2 back over to Ned, thank you.

3 DR. CALONGE: Thanks, Leticia, and I want to
4 thank the Committee Members, and the Organizational Reps
5 for reviewing the May 2024 meeting summary. I'll ask
6 one more time are there any corrections to the meeting
7 summary before we vote to approve? Seeing none, can I
8 have a motion to approve the meeting summary?

9 DR. KWON: I move to approve.

10 DR. CALONGE: Thanks, Jennifer. Is there a
11 second?

12 DR. CODY: I'll second.

13 DR. CALONGE: Thanks Jannine. Leticia, would
14 you do the roll call vote?

15 COMMANDER MANNING: Sure. Now, this is the
16 real test to get everyone's name correct the second
17 time. Okay, from the Agency for Healthcare Research and
18 Quality, Robyn Sagatov? It's too much pressure.

19 DR. SAGATOV: Yes.

1 COMMANDER MANNING: Michelle Caggana?

2 DR. CAGGANA: Yes.

3 COMMANDER MANNING: Ned Calonge?

4 DR. CALONGE: Yes.

5 COMMANDER MANNING: Carla Cuthbert?

6 DR. CUTHBERT: Yes.

7 COMMANDER MANNING: Jannine Cody?

8 DR. CODY: Yes.

9 COMMANDER MANNING: Christine Dorley?

10 DR. DORLEY: Yes.

11 COMMANDER MANNING: Paula Caposino?

12 DR. CAPOSINO: Yes.

13 COMMANDER MANNING: Jeff Brosco?

14 DR. BROSCO: Yes.

15 COMMANDER MANNING: Jennifer Kwon?

16 DR. KWON: Yes.

17 COMMANDER MANNING: Ash Lal?

18 DR. LAL: Yes.

19 COMMANDER MANNING: Melissa Parisi?

1 DR. PARISI: Yes.

2 COMMANDER MANNING: And Chanika Phornphutkul?

3 DR. PHORNPHTKUL: Yes.

4 COMMANDER MANNING: Thank you.

5 DR. CALONGE: Motion passes. Thanks
6 everyone.

7
8 **Public Comments**

9 DR. CALONGE: We're going to now move into
10 the public comment period. We received a letter of
11 request by individuals to provide oral, public comments
12 to the Committee today. Some individuals are here in
13 person, and other will join us virtually, and I think we
14 have an extra person who is signed up with their
15 parents, so that's wonderful.

16 We also received seven written public
17 comments that were shared with the Committee Members,
18 and I'm sure we have all reviewed those, and thinking
19 about the information that was included within them, so

1 I'd like to move to the oral public comment. Our first
2 person is Lauren Stanford.

3 MS. STANFORD: Good morning. Hi. Members of
4 the ACHDNC -- my name is Lauren Stanford, and I'm the
5 Senior Director of Advocacy at Parent Project Muscular
6 Dystrophy. On behalf of the estimated 15,000
7 individuals living with Duchenne in the United States
8 who underwent the extensive, expensive, heartbreaking
9 and avoidable diagnostic odysseys, I am here to continue
10 to advocate for the addition of Duchenne to the
11 recommended uniform screening panel, also known as the
12 RUSP.

13 The addition of Duchenne to the RUSP will not
14 only ensure ----

15 COMMANDER MANNING: Lauren, I'm sorry,
16 there's something wrong with the mic. We want to hear
17 you.

18 MS. STANFORD: Are you sure? I'm sorry. Am
19 I good to keep going where I started, or should I start

1 over?

2 COMMANDER MANNING: You could start over.

3 MS. STANFORD: Great, okay. Hi, my name is
4 Lauren Stanford, and I am the Senior Director of
5 Advocacy at Parent Project Muscular Dystrophy. On
6 behalf of the estimated 15,000 individuals living with
7 Duchenne in the United States who underwent the
8 extensive, expensive, heartbreaking and avoidable
9 diagnostic odysseys, I am here to continue to advocate
10 for the addition of Duchenne to the RUSP.

11 The addition of Duchenne to the RUSP will not
12 only ensure that future babies born in the U.S. will
13 avoid the irreversible consequences of the diagnostic
14 odyssey, but will also enable opportunities to introduce
15 timely interventions during optimal, therapeutic
16 windows.

17 Duchenne is a progressive, genetic,
18 multisystematic disorder. It robs children of their
19 ability to walk and raise their arms. It can also

1 significantly impact developmental endocrine, bone,
2 heart and lung function, and is almost always- fatal
3 before age 40, and tragically sometimes even decades
4 sooner.

5 PPMD has been tirelessly working towards the
6 inclusion of Duchenne in newborn screening for over a
7 decade. Our efforts aim to ensure timely diagnosis and
8 optimal timeframes for interventions, and to enable the
9 best possible outcomes for every baby born with
10 Duchenne.

11 Today, we are at a pivotal moment with our
12 ability to enhance health outcomes for those with
13 Duchenne. With a clear understanding of how crucial it
14 is to address developmental delays early, coupled with
15 eight recent FDA-approved therapies that alter disease
16 progression, and a promising pipeline of new treatments,
17 the importance of early and equitable diagnosis cannot
18 be overstated.

19 The timely diagnosis not only provides access

1 to lifechanging therapies, but also alleviates the
2 strain of delayed detection for families. Early
3 identification is about more than just extending life.
4 It's about significantly improving its quality, and
5 empowering families to face challenges ahead with
6 greater preparation.

7 Without newborn screening, patients and their
8 families are often deprived of the opportunity to make
9 fully informed medical decisions when there is still
10 muscle to be preserved. We greatly value our
11 partnership with the ACHDNC, and thank you for approving
12 our community's request to postpone the vote on this
13 matter as new evidence is prepared for your review.

14 PPMD is currently driving multiple projects
15 that will have a direct impact on our body of evidence.
16 My colleague, Megan Freed, will speak to the projects
17 that are underway in her comments. The addition of
18 Duchenne to the RUSP will recognize the urgency of
19 timely intervention in Duchenne, and the profoundly

1 positive impact we can have with children with Duchenne
2 when we introduce clinical and therapeutic resources
3 immediately.

4 Thank you to the Committee for your continued
5 attention to the Duchenne evidence review. As a
6 Committee - as a community, sorry -- we have laid the
7 groundwork, we continue to strengthen the evidence for
8 review, and we are creating innovative solutions for
9 long-term- data collection and care standards.

10 Together, we can change the trajectory of
11 this devastating disease, offering hope and a brighter
12 future to those affected by Duchenne Muscular Dystrophy.
13 Thank you.

14 DR. CALONGE: Thank you. Next, we have Megan
15 Freed.

16 MS. FREED: Good morning. Thank you to the
17 Committee for the opportunity to testify today. My name
18 is Megan Freed, and I'm the Director of Data and
19 Technology Strategy at Parent Project Muscular

1 Dystrophy. I'm excited to update the Committee on a
2 groundbreaking project being led by PPMD. This project
3 addresses a crucial need to quantify the benefit of
4 early intervention with steroid treatment on young
5 children with Duchenne.

6 Our goal is to provide the ACHDNC with
7 comprehensive, and empirical evidence that earlier
8 treatment of Duchenne delays the progression of disease.
9 The primary objective of our project is straightforward,
10 yet transformative. We seek to gather and analyze data
11 to determine whether a timely diagnosis of DMD defined
12 as before age four years, followed by the initiation of
13 corticosteroid treatment, yields better health outcomes
14 compared to starting treatment at the current median age
15 of diagnosis, defined as five years of age.

16 This investigation will be instrumental in
17 informing future recommendations and treatment
18 protocols. Our study is built on three core aims.
19 Number one, to evaluate the impact of early

1 corticosteroid treatment. We will assess if initiating
2 corticosteroid treatment very early in the diagnosis and
3 disease trajectory is linked to delayed loss of
4 ambulation.

5 This means determining whether starting
6 treatment sooner helps patients maintain their ability
7 to walk for a longer period of time. Number two, to
8 analyze disease trajectory. We will examine whether
9 early initiation of corticosteroids correlates to an
10 improved disease trajectory as measured by standard
11 neuromuscular functional tests in the clinical setting.

12 This will help us understand if early
13 treatment translates to better overall functional
14 outcomes. And number three, to assess pulmonary
15 function maintenance. We will explore whether early
16 treatment contributes to longer maintenance and
17 pulmonary function, which is critical for the quality of
18 life of DMD patients.

19 In other words, does earlier steroid

1 treatment allow DMD patients more autonomy of getting up
2 off the floor, climbing stairs and playing with their
3 friends, does it allow more patients more months or even
4 years of walking? Can they breathe longer on their own
5 without the respiratory support of machines?

6 To achieve these aims we're working with four
7 exemplary academic medical centers to closely review and
8 analyze their patient's course of treatment in clinical
9 outcomes. Our project hinges on data completeness, data
10 sharing, and expert analysis to prove statistical
11 significance.

12 It's our hope that this analysis will be
13 ready for the Committee's review in mid-December. By
14 systematically assessing the relationship between early
15 intervention and clinical outcomes of Duchenne, this
16 project will not only advance our scientific
17 understanding, but potentially improve the standards of
18 care for patients.

19 We look forward to continuing to update the

1 Committee on the progress made in this project, and
2 thank you very much for your continued commitment to our
3 nation's newborns.

4 DR. CALONGE: Thank you. Next, online we
5 have Samuel MacKenzie.

6 DR. MACKENZIE: Hi there. Good morning. On
7 behalf of the Duchenne Clinician Community, thank you
8 for the opportunity to testify today. My name is Dr.
9 Sam MacKenzie. I'm a child neurologist with additional
10 certification in neuromuscular medicine, practicing at
11 the University of Rochester.

12 The University of Rochester is a certified
13 Duchenne care center, and currently supports
14 approximately 150 patients with Duchenne and Becker
15 Muscular Dystrophies. I am fortunate to practice in New
16 York State, where we successfully piloted DMD newborn
17 screening from 2019 to 2021, and legislation to begin
18 screening broadly goes into effect later this year.

19 This is a crucial step forward. Despite over

1 30 years of efforts to improve clinical identification
2 of Duchenne through CK screening, diagnosis delays
3 persist. The average age of diagnosis remains at 4.9
4 years, a delay that continues to be a significant
5 challenge and is increasingly damaging, given the
6 expanding array of treatment options available.

7 The benefits of newborn screening for
8 Duchenne are substantial. Early screening allows for
9 the prompt implementation of standard of care, including
10 early intervention services and consideration of
11 corticosteroid therapy as we've just heard. It also
12 provides earlier access to newly approved disease
13 modifying medications, such as exon skipping therapies
14 and gene therapies, at a stage when muscle damage and
15 fibrosis are minimal.

16 Additionally, it enables participation in
17 clinical trials without the risk of aging out, and it
18 gives families valuable time to understand the disease
19 and explore treatment and trial options. Today, we have

1 a growing arsenal of treatment options with eight FDA-
2 approved therapies and more on the horizon.

3 Early diagnosis and intervention are crucial,
4 as delays can lead to irreversible muscle damage that
5 could have been mitigated with timely use of
6 corticosteroids and other disease modifying treatments.
7 Newborn screening allows for early diagnosis before
8 children start school, facilitating the early evaluation
9 and identification of learning disabilities.

10 It also provides families with timely genetic
11 counseling, helps identify carriers who may face their
12 own health concerns, and supports the development of
13 social support networks. Importantly, it allows
14 families to make informed decisions about integrating
15 the diagnosis into their lives, which can influence many
16 aspects of their future, including housing and other
17 critical choices.

18 So, for these reasons I strongly support the
19 implementation of Duchenne newborn screening by adding

1 it to the RUSP, and I appreciate your attention and
2 dedication to advancing this crucial initiative. Thank
3 you.

4 DR. CALONGE: Thank you. Next we have Paul
5 Melmeyer, who I thought was --- oh, he's virtual today,
6 okay. -Sorry, Paul.

7 MR. MELMEYER: Here I am. Apologies all.
8 All right, I'll get going. Thank you for the
9 opportunity to comment on the ongoing review of Duchenne
10 Muscular Dystrophy for consideration for the recommended
11 uniform screening panel. I am Paul Melmeyer, Executive
12 Vice President of Public Policy and Advocacy at the
13 Muscular Dystrophy Association.

14 MDA is proud to serve the Duchenne Muscular
15 Atrophy and Pompe community, along with many other rare
16 neuromuscular disease communities. I want to again
17 thank the Committee for agreeing to extend the review
18 period of our Duchenne Muscular Dystrophy nomination,
19 and are grateful for the work of Dr. Kemper, the

1 evidence review group, and the technical expert panel.

2 This delay will allow us to continue
3 collecting evidence on the importance of earlier
4 administration of treatments for the Committee to
5 consider as already detailed by our co-nominators at
6 Parent Project Muscular Dystrophy. Since last we met
7 the FDA has expanded the label of Elevidys, the first
8 and only FDA--approved gene therapy for DMD.

9 From an accelerated approval for those four
10 and five years of age to a full approval for all
11 ambulatory individuals over the age of four, and an
12 accelerated approval for all non-ambulatory individuals.
13 The label expansion will allow many more in the Duchenne
14 community to consider Elevidys as an option.

15 Finally, we would like to comment on the
16 Duchenne nomination discussion from the previous ACHDNC
17 Meeting. To start with, we urge the Committee to not
18 re-adjudicate FDA's decision making. The FDA is the
19 sole regulatory agency determining whether a therapy is

1 safe and effective, and we see no reason for why this
2 Committee should re-analyze to reconsider a therapy's
3 effectiveness, when the FDA has already done so.

4 Second, we reiterate once again that there is
5 no presymptomatic phase of Duchenne, as was stated
6 several times in the previous meeting. As indicated by
7 elevated CK at birth, muscle damage is occurring from
8 the moment a baby is born, and just because we don't
9 clinically observe those symptoms occurring for a few
10 years, does not mean that they are not happening.

11 We encourage the illumination of this phrase.
12 There were also several points made last meeting that we
13 would like to support and reiterate. First, we greatly
14 appreciate the ERG closely investigating
15 nonpharmaceutical benefits at an earlier diagnosis,
16 including avoiding -the diagnostic odyssey, effects on
17 the family, family planning and improved health status
18 prior to gene therapy eligibility and more.

19 We are similarly grateful for the

1 acknowledgement of parental perspectives, including
2 discussion of the study indicating a clear preference
3 for an earlier diagnosis. We agree with the comments
4 debunking gene therapy as unrepeatable due to antibody
5 acquisition from the AAV as we and many others in the
6 field are making rapid progress at redosing and non-AAV
7 approaches.

8 Finally, we would like to emphasize the
9 "stream of evidence" suggesting that there may be
10 benefit from earlier "corticosteroid initiation,"
11 presented by Dr. Kemper, and again seek to only
12 reiterate this conclusion in our ongoing work with PPMD.

13 Again, very grateful for the Committee's
14 continued review, and thank you for the opportunity to
15 testify.

16 DR. CALONGE: Thank you. I'm going to move
17 on then to Sanjiv Harpavat. I guess he's not on, so
18 next Peter Kyriacopoulos.

19 DR. HARPAVAT: Oh. I am here, sorry, Dr.

1 Calonge. Can you guys hear me?

2 DR. CALONGE: I apologize, sorry.

3 DR. HARPAVAT: No problem. There was a
4 little delay in getting on. Thank you, we're very, very
5 grateful to the Committee in general for hearing us, and
6 also for the expert panel for giving us the invite
7 recently on what we're about to talk about. We're not
8 asking for anything in this, it's more a message of
9 thanks, and a little information.

10 So, I'm a liver physician. I care for
11 patients with a serious disease called biliary atresia.
12 This is a disease that affects 1 in 10,000 infants, and
13 for those of you who haven't heard of it, it is rare,
14 but in fact it's the leading indication for pediatric
15 liver transplantation in children, as well as all organ
16 transplantation in children, and all the morbidity and
17 mortality that comes with it.

18 So the hope for me, with this disease, is
19 that we know that large retrospective studies with

1 hundreds of patients, over many countries, over dozens
2 of years, have shown that earlier detection of this
3 disease helps prevent the transplant burden, reduce the
4 transplant burden.

5 We are really encouraged that science tells
6 us that earlier detection and treatment helps alleviate
7 liver transplants. The problem is that although the
8 disease is present at birth, babies look healthy
9 initially and are often detected at later times, and
10 this later treatment is really what leads to the need
11 for a liver transplant.

12 So, our big challenge, and when I say our I
13 include all the people in the room, all the people with
14 expertise in helping children born in the United States,
15 is to develop an acceptable test that we can use to
16 detect babies with biliary atresia earlier.

17 We're completely committed to newborn
18 treating for biliary atresia, exploring all
19 possibilities, and we very much appreciate the

1 Committee's advice, suggestions and guidance, in trying
2 to figure out what's the right test right now, the point
3 of care test, and we understand and appreciate now the
4 challenges with the point of care testing, and that was
5 given by the testimony yesterday, the talks yesterday.

6 We're constantly learning from you. We
7 appreciate the value of thinking carefully about
8 implementation with that in the context of the enormous,
9 but very, very, important work that is taking place
10 every day in the state newborn screening laboratories.
11 There's more to come, but this is just an introduction
12 to the disease. Again, thank you very much to the
13 Committee, for starting to think about biliary atresia.

14 DR. CALONGE: Thank you, Dr. Harpavat. Next,
15 we have Peter Kyriacopoulos.

16 MR. KYRIACOPOULAS: Thank you very much.
17 So, I'm Peter Kyriacopoulos. I am the Chief Policy
18 Officer for the Association of Public Health
19 Laboratories, APHL, where I have been working on newborn

1 screening issues with my colleague, Jelili Ojodu, for
2 over the past two decades.

3 I want to thank the Committee for its
4 attention. I want to thank our HRSA friends for
5 facilitating the placement of some slides that I
6 developed for the Committee's consideration as well.
7 So, I think you all know, APHL works to improve the
8 operations of state, local and territorial public health
9 laboratories, and we also work to help improve the
10 operations of our federal partners, the Health Resources
11 and Services Administration, Centers for Disease Control
12 and Prevention, and the Food and Drug Administration.

13 So, we can see a little bit of that
14 indication of that help if you look to the modest
15 increases in federal funding that had been coming into
16 the newborn screening activities at these federal
17 partners. The FDA has issued a final rule on LDTs is
18 what we're calling it, there's a bigger name, and we
19 have been monitoring this issue for the past dozen

1 years.

2 We have been providing comments, and if you
3 go to the slide deck you'll be able to get links to all
4 of the comments that we have submitted. We are the -- I
5 think the perhaps only laboratory organization that is
6 not opposing the FDA activity on LDTs. And in fact, we
7 are trying to inform FDA about the work of public health
8 laboratories, especially when it comes to newborn
9 screening, so that they are aware of the steps that they
10 can take to prevent any harmful disruptions, I think is
11 the easiest way to say that.

12 So, what am I talking about? Public health
13 laboratories have not been engaged with the findings of
14 paperwork that the FDA asks for, and this may surprise
15 you, but they actually are not funded to have staff who
16 might be able to respond to the FDA. So, we are trying
17 to learn best for our members' sake how they can comply
18 with this new requirement.

19 And we've been fortunate, I think, being able

1 to share information with FDA that they have then
2 incorporated into some of the initial guidance documents
3 that they have produced, and we know that they are
4 working on producing more informational guidance
5 documents, and also webinars to help with compliance.

6 Again, in the slide deck I shared, you will
7 find reference to the website that APhL will develop,
8 and that will be public facing, so you all can see all
9 the information that we have on lab developed tests and
10 compliance with the FDA rule. We are obviously very
11 concerned that there are very few disorders that when
12 they are added to the RUSP, they actually have an FDA-
13 cleared test.

14 And in fact, in our information is it takes
15 three to five years after a disorder is added before
16 there is an FDA-cleared test. Because of that length of
17 time, we have urged FDA to consider putting newborn
18 screening testing under the imminent health threat issue
19 in the guidance document that they have developed

1 because many, many states, as soon as the disorder is
2 added to the RUSP, they must begin to implement that
3 disorder.

4 So, we think that that is an imminent
5 addition that requires attention sooner than a three to
6 five years that you might get an FDA-cleared test
7 through. We know that there have been some actions by
8 states already that are concerned about what they must
9 do next. So, again, I want to thank the Committee for
10 its attention, and let you know that there's much more
11 to come from APHL. Thank you.

12 DR. CALONGE: Thank you, Peter. Next we have
13 Dean Suhr.

14 MR. SUHR: Good morning. Thank you for your
15 attention this morning, Chair and Committee Members.
16 I'm Dean Suhr. I'm an MLD father and President and
17 Co-Founder of MLD Foundation. I'm honored to be here to
18 present the RUSP nomination for Metachromatic
19 Leukodystrophy. It's a day that so many of us,

1 including many families who are watching online have
2 been waiting for, for a long time.

3 I'm humbled to represent the entire MLD
4 community, including the 84 families that we know of
5 that have lost a loved one to MLD over the past five
6 years. Deaths that could have been changed to not only
7 alive, but thriving, and all but 100% normal if only
8 they had been identified by newborn screening, instead
9 of post-symptomatically when therapies would not work
10 for them.

11 So let's build a stool. If you know a stool,
12 two legs doesn't work. You need more than two legs.
13 I'm going to talk about that very briefly. First leg,
14 viable therapy. In 2005 MLD Foundation first met with
15 the gene therapy research team from Milan, along with
16 several dozen MLD experts all of whom said gene therapy
17 would not work. They were wrong.

18 We worked with them through animal studies,
19 clinical trials, EMA approval, and 19 years after that

1 first meeting earlier this year in March we got an FDA
2 approval for gene therapy. The MLD community has more
3 longevity outcome data on that gene therapy than any
4 other gene therapy that I'm aware of.

5 I can tell you because I've personally seen
6 it, the therapy has all but perfect outcomes when
7 accessed pre-symptomatically. The data in front of you
8 in our packet, and the testimony from families you have,
9 and will hear from demonstrate this.

10 The first human patient is now 14 years
11 post-transplant, post gene therapy. These are gene
12 therapy patients now in their teens, they're attending
13 school, they're running, jumping, playing and excelling
14 in their classwork. Second leg, an accurate, repeatable
15 and replicable newborn screening assay. Professor Geld,
16 with some prodding and questioning by my wife, Teryn,
17 came up with a technique to avoid the 8% pseudo
18 deficiency false positive rate that MLD has.

19 They validated and piloted the first testing

1 for elevated sulfatides substrate levels using standard
2 newborn screening dried blood spots, standard cards, on
3 tandem mass, and relegated the ARSA enzyme level testing
4 to the second tier. The third tier of genomic
5 sequencing, to help predict the form of the disorder.

6 The de-identified results were astonishing.
7 Subsequently, the assay has been piloted in a half dozen
8 labs, including Screen Plus in New York, and numerous
9 sites over in Europe. With over a quarter million spots
10 screened, four babies have been identified, and are
11 referred to, or awaiting therapy with zero false
12 positives.

13 Those of you that know Michael Gelb know that
14 he would have shared those last two statements much more
15 emphatically than I just did, and I know he's watching
16 right now. The data that you have clearly demonstrates
17 that the assay fits all of the RUSP criteria, and yes,
18 we have achieved the N-of-1 criteria.

19 Legs three and four are not really RUSP

1 criteria, but they're important, nonetheless, and
2 they're something that I've heard you discuss. Value.
3 It's important to be aware of it. ICER here in the U.S.
4 and NICE, the U.K. State organization chartered with
5 value assessments, both used the same rigor to assess
6 value that Dr. Kemper's external evidence review group
7 uses to look at the science of the proposed screens, and
8 the efficacy of new therapies.

9 ICER and NICE both reviewed Lenmeldy for the
10 therapeutic efficacy and its value to patients and the
11 taxpaying societies they represent. Lenmeldy proved to
12 a great value on both continents, meaning that the
13 one-time upfront cost of therapy paid for itself after
14 just a few short years because this therapy is
15 essentially curative, there's no ongoing medical cost.

16 A fourth leg, and this was discussed a little
17 bit yesterday, access or reimbursement. Yesterday we
18 briefly discussed Medicaid across state lines. How does
19 one state credential refer and then pay for therapy in

1 another state? There will be five centers of excellence
2 for the MLD therapy in the U.S.

3 Most patients will be crossing state lines to
4 access the therapies, and looking across the panel, and
5 I don't see Dr. Kemper here, but all of you will be
6 sending patients to another state because you're not one
7 of those five states.

8 I'm from Oregon, this is my third trip to
9 D.C. over the past 60 days. Typical with each two-day
10 trip is 16 to 25 Hill office visits to promote Medicaid
11 and para legislation. I'm working with a team of
12 advocates to write some of this legislation, and we hope
13 for three access and reimbursement bills to advance to
14 floor votes this session, and I would appreciate the
15 opportunity to show more about that maybe at a future
16 meeting, kind of the bigger picture in that umbrella.

17 So, that's four solid legs that we have for
18 MLD therapy and newborn screening. In closing, I want
19 to thank the many dozens who have worked, some for over

1 a decade, on MLD newborn screening in the nomination.
2 You can see many of their names in the nomination
3 package. The data clearly and strongly supports our
4 request for acceptance and referral to external expert
5 evidence review.

6 As has been the case for almost 15 years, the
7 MLD community is at your service to answer questions,
8 refer you to additional data experts and other
9 resources. Some of those experts are in the audience
10 today. If there are any questions that come up during
11 your discussion that we can quickly answer, we'd be
12 happy to do that.

13 So, unlike many of you who probably have
14 pictures of your family on your phone, my home screen is
15 a picture of a very young boy, who is burying his
16 younger brother. His younger brother died from MLD. He
17 doesn't know what he's doing, and that's probably a good
18 thing, but I hope that when we get newborn screening
19 onto the RUSP, and we get it implemented in 50 plus

1 states and territories, that I could pick the pictures
2 of my grandkids back onto my phone, so please help me do
3 that, if nothing else, approve it so I can do that.

4 As always, we appreciate and thank you for
5 the hard work of the Committee, and the public health
6 system on behalf of the children, and frankly as they
7 grow up healthy adults of America, thank you.

8 DR. CALONGE: thank you. Next, Maria
9 Kefalas.

10 MS. KEFALAS: I am ceding my time to my dear
11 friend Amy Price and her son Giovanni Price. I will
12 simply say that Amy and I have waited a very long time
13 for this moment. I'm very pleased to introduce to you
14 this amazing advocate and mom who will share her story
15 of gene therapy on behalf of the families who have lost
16 children, and the families who will not suffer as we
17 have, thank you. Come on up.

18 DR. CALONGE: This is Amy and Giovanni Price.

19 MS. PRICE: Hello, thank you. My name is Amy

1 Price, and this is my son, Giovanni. We are here today
2 to ask that Metachromatic Leukodystrophy be advanced
3 towards approval for the RUSP. Giovanni will begin his
4 last year of middle school in two weeks, an eighth
5 grader who loves football, watching weather chasing
6 videos, and his cats. Giovanni is an amazing cook. He
7 has mastered omelets that I have never been able to
8 master, and he makes the perfect grilled cheese.

9 He knows everything about cars and has talked
10 about going to a college in Kansas that specializes in
11 historic car restoration. Everything about Giovanni
12 mirrors that of the average, ordinary 14-year-old boy.
13 His friends, peers in school, or anyone who meets him
14 would never guess that in 2011, at 11-months-old, he was
15 diagnosed with late infantile Metachromatic
16 Leukodystrophy, and that just three weeks after that he
17 was on a plane to Italy, where he became the second
18 child in the world to undergo gene therapy for MLD.

19 I cannot talk about Giovanni without talking

1 about his sister Liviana, who is almost exactly two
2 years older than him. Due to a lack of newborn
3 screening for MLD, and a pattern repeated in many
4 families in the U.S., Giovanni's sister's symptomatic
5 diagnosis led to his diagnosis.

6 Liviana adored Giovanni. I can never talk
7 about her without crying. She called him Gimanni, and
8 she said that he was her best friend, before she lost
9 the ability to speak. She followed him around and
10 always wanted to hold his hand to keep him safe, before
11 she lost her ability to walk. While Giovanni was
12 receiving his lifesaving gene therapy, Liviana was
13 losing every single milestone she ever attained,
14 becoming dependent on tube feedings, and crying in pain
15 for her body's betrayal.

16 It is difficult to describe the pain of
17 saving one child while losing another to the same
18 condition. We lost Livi in 2013 at just five and a half
19 years old. She left behind not just her dad and I, and

1 Giovanni, but other siblings who feel the heavy weight
2 of her absence in their lives.

3 The impact of sibling loss and sustaining
4 grief never goes away. We frequently talk about Liviana
5 and see her photos, memories and stuffed animals on a
6 shelf in the center of our home. She would be 16 years
7 old if still alive today. I never thought it would have
8 taken 13 years after Giovanni's treatment to have gene
9 therapy finally approved in the U.S.

10 And given all we know about the absolute
11 critical importance of early diagnosis of MLD to save
12 lives with gene therapy, I never thought we would be
13 here today with an approved therapy, but without an
14 approved newborn screening. Until we have both we will
15 continue to lose children to this cruel and devastating
16 disease.

17 Parents will continue to tell the story of
18 pain and loss and siblings will grow up with longing
19 grief. As a parent who has lived the reality of gene

1 therapy saving one child's life, and a diagnostic delay
2 taking another, I cannot implore you enough to move
3 swiftly in approving MLD to the recommended universal
4 screening panel.

5 And Giovanni is going to say a few words now.

6 MR. PRICE: My name is Giovanni Price.
7 You've heard a lot about me. I was only one when I had
8 my gene therapy, so I do not remember it. I look at
9 photos and talk to my parents about the experience.
10 Only my closest friends know about my MLD and gene
11 therapy. They don't really understand and how I have
12 terminal --- how- is it terminal?

13 But I'm here living a normal life instead,
14 and it's hard for me to understand sometimes. I do all
15 the same things my friends do. I get good grades. My
16 teachers have told me I'm a really good writer, I like
17 to make presentations for my class and playing football
18 games. I was only three when Livi died. I feel like I
19 remember her so well.

1 I remember playing with the little rocks on
2 her bed. We have so many photos of her, and my parents
3 telling me stories. Playing with her, or trying to help
4 take care of her. When I would hear her coughing, and
5 my mom talks about her saying I was her best friend, it
6 makes me happy and sad at the same time.

7 It is sad to have lost not only a sister, but
8 a best friend. Sometimes it is really hard to
9 understand why I am here, but she is not. I see how sad
10 my family is about Livi not being here. We celebrate
11 her birthday in January and her time in heaven in
12 September, and we look at her photos and talk about the
13 funny things she would do.

14 I know that without gene therapy my parents
15 would only have photos on the shelf, and have to talk
16 about memories of me with tears in their eyes. I also
17 know that without newborn screening more parents will be
18 left just memorized in photos. Please for all the
19 sacrifice and tears we ask you to approve MLD to the

1 newborn screening panel.

2 DR. CALONGE: Thank you. Next online we have
3 Barbara Burton.

4 DR. BURTON: Good morning, and thank you so
5 much for giving me the opportunity to testify in support
6 of sending the nomination of Metachromatic
7 Leukodystrophy forward for a full evidence review. My
8 name is Barbara Burton. I'm a Professor of Pediatrics
9 at the Northwestern University Feinberg School of
10 Medicine, and Co-director of the Leukodystrophy Care
11 Center at the Lurie Children's Hospital of Chicago.

12 In the latter capacity I care for children
13 and families affected by MLD and have done so for over
14 40 years. Early onset MLD is a genetic disorder that
15 devastates both the child and the entire family.
16 Symptoms typically begin between two and eight years of
17 age when a child who previously seemed to be completely
18 healthy and normal develops motor symptoms such as a
19 clumsy or staggering gait, or frequent falls.

1 Once the symptoms appear, the disease
2 progresses rapidly to cause degeneration of both the
3 brain and the peripheral nerves. As a result, affected
4 children rapidly lose the ability to walk, talk, eat and
5 move. After the onset of symptoms there is nothing
6 medically that can be done to stop the relentless
7 progression of the disorder, which is ultimately fatal,
8 often after years in a severely, neurologically impaired
9 state.

10 The only treatment that can be provided is
11 symptomatic. This year, as you've heard, a truly
12 lifechanging form of gene therapy was approved by the
13 FDA for treatment of early onset MLD. This is perhaps
14 the most dramatically effective new therapy for a
15 genetic disorder that I have witnessed in my long career
16 as a medical geneticist.

17 You just saw an incredible example of this in
18 Giovanni Price. If the treatment is provided before the
19 onset of symptoms, the treatment can dramatically alter

1 the course of the disorder, allowing the affected child
2 to live a normal life, going to school, and interacting
3 with family and friends like any other healthy child.

4 Sadly, however, it is not effective after
5 symptoms have emerged, and the diagnosis is established
6 on clinical grounds. Currently, it is only possible to
7 make the diagnosis in a child prior to the onset of
8 symptoms if they have an older, affected sibling. This
9 is why newborn screening is absolutely critical for this
10 condition.

11 A family should not have to lose one child to
12 the disease before another can be saved. Newborn
13 screening has been shown to be effective in accurately
14 identifying the condition and pilot programs and is
15 likely cost effective when considering the extraordinary
16 financial and emotional costs of caring for severely
17 neurologically impaired child with MLD for up to 20
18 years.

19 It's time to save the lives of those children

1 born each year in our country with this devastating
2 disease. You can make that happen by voting to send
3 forward the nomination of MLD for addition to the RUSP.
4 Thank you for all that you do to advance newborn
5 screening for our children.

6 DR. CALONGE: Thank you. I'd like to return
7 to the topic of DMD and welcome Lindsey Flessner who is
8 online.

9 MR. FLESSNER: Hi. I'm actually Daniel
10 Flessner, I'm Lindsey's husband.

11 DR. CALONGE: Sorry, Daniel, thanks.

12 MR. FLESSNER: What's that?

13 DR. CALONGE: I apologize.

14 MR. FLESSNER: No, we're fine. I want to
15 start off with thanking you all for giving me this
16 opportunity to share a story today, and for listening to
17 how Duchenne Muscular Dystrophy has profoundly impacted
18 our lives, and why I believe it's critical that this
19 should be added to the RUSP.

1 On June 16, 2021, our world has forever
2 changed. Our oldest son, Mason, at just three years old
3 was diagnosed with Duchenne Muscular Dystrophy. The
4 news hit us like a freight train. As if that wasn't
5 overwhelming enough, we were advised to have our
6 youngest son, Dawson, who was only six months old at the
7 time be tested as well.

8 To top that off, we were told my wife,
9 Lindsey would need to be tested to determine if she was
10 a carrier. Diagnosis felt like an unstoppable force,
11 flattening our lives in an instant. We were thrust into
12 a whirlwind of establishing a care team, reorganizing
13 our schedules, and trying to maintain some semblance of
14 normalcy for our family. Everything changed overnight.

15 But rather than recounting the heartache and
16 despair we felt initially, I really want to focus on the
17 glimmer of hope that has emerged. The landscape in
18 Duchenne Muscular Dystrophy has evolved since that
19 fateful day. We now see a future filled with promise,

1 with new therapies and gene treatments on the horizon.

2 When Mason was diagnosed it felt like we were
3 racing against the clock, but now with advancements in
4 gene therapy in newborn screening, the hope is stronger
5 than ever. Newborn screening offers family a crucial
6 advantage that provides an early diagnosis, allowing us
7 to take proactive steps, rather than reacting to an
8 urgent crisis.

9 With early detection families can assemble
10 the best possible care team and explore various
11 treatment options well before the disease progresses.
12 This early intervention can make a significant
13 difference in managing Duchenne and improving the
14 quality of life. As a father deeply invested in this
15 fight, every moment is precious. I've spent countless
16 nights awake grappling with the reality of how to buy us
17 more time.

18 Time is muscle, a concept that resonates
19 deeply with us. By adding Duchenne to the RUSP we can

1 give families the gift of time, time to make informed
2 decisions, time to seek out the best treatments, and
3 time to fight for a better future. I'm just a farmer
4 from central Illinois, but I've witnessed the impact of
5 muscular dystrophy on families in ways many haven't.

6 Taking over the family farm from my dad I
7 thought one of the hardest challenges would be managing
8 our crops. But now our greatest challenge is raising
9 hope, awareness, and striving toward a cure. To end, I
10 want to thank each and every one of you for your time
11 today, time that we can't get back, but together we can
12 make a difference.

13 Let's stay united and say not today DMD,
14 thank you all.

15 DR. CALONGE: Thank you, Daniel. This
16 concludes our public comments for today. I want to
17 pause and thank everyone that gave public comment, but
18 especially parents and the children of parents who
19 demonstrate the importance of newborn screening, follow-

1 up and treatment, and kind of help us realize why
2 we're- all here, why we commit the time and effort, and
3 emotion to this work.

4 Thanks for coming. Thanks for sharing your
5 stories. These are important things for us to bring
6 into the decision making, and help move newborn
7 screening forward, so again, I thank you. I would like
8 at this time to just put in a five minute break, let us
9 do a little stretch break, and then we'll come back and
10 have a presentation from the nomination and
11 prioritization Committee, thank you.

12
13 **Metachromatic Leukodystrophy (MLD) Nomination Process**

14 DR. CALONGE: Welcome back, just to kind of
15 summarize the progress so far. The Committee received a
16 nomination to include Metachromatic Leukodystrophy to
17 the recommended uniform screening panel through our new
18 nomination process.

19 They completed step one, the four questions,

1 which was reviewed by the Nomination and Prioritization
2 Committee, who felt that the questions were answered
3 appropriately, and then the advocates supported a full
4 nomination package, which has also been approved -
5 -- I'm- sorry, reviewed by the N and P workgroup.

6 So today, two members from the Nomination and
7 Prioritization Workgroup are going to provide the
8 Committee with a summary and recommendation as to
9 whether or not MLD should forward to a full evidence
10 review. Before we continue with the session, if there
11 are committee members that feel they should recuse
12 themselves, you may do so at this time.

13 And I believe that Christine Dorley has
14 recused herself. So, Doctors Caggana and Phornphutkul
15 are going to provide the nomination summary for MLD.
16 Dr. Michele Caggana is the Deputy Director for the
17 Division of Genetics, and Chief of the Laboratory of
18 Human Genetics, and Director of the Newborn Screening
19 Program for the New York State Department of Health.

1 She's also the co-lead of the genetic testing
2 section for the clinical laboratory evaluation program.
3 Michele works closely with NICD, CDC, and HRSA as
4 principle investigator on several ongoing grants and
5 contracts. She's- actively involved in several
6 associations, the Public Health Laboratory Committees,
7 and subcommittees.

8 Dr. Chanika Phornphutkul is the Director of
9 the Division of Human Genetics, Department of
10 Pediatrics, at the Warren Alpert Medical School of Brown
11 University in Providence, Rhode Island. She has
12 practiced genetics and metabolism for the past 16 years.

13 At the clinical level, Dr. Phornphutkul has
14 been involved with identifying rare disorders, clinical
15 trials and supporting families with genetic conditions.
16 At the educational level she is the course director of
17 the medical school's genetics curriculum, and a
18 long-term member of the Newborn Screening Advisory
19 Committee to Rhode Island Department of Health.

1 So Michele, I think you're going first. No,
2 Chanika is going to go first.

3 DR. PHORNPHTKUL: Thank you. So, on behalf
4 of the Nomination and Prioritization Workgroup, we're
5 happy to share information that we have worked on in the
6 past few months. So, the nomination for Metachromatic
7 Leukodystrophy, MLD, include the name on this list, MLD
8 Foundation. Next slide.

9 Next slide please. Thank you. Sorry about
10 that. This is the list of the people who have worked on
11 nominating the MLD for the nomination from the MLD
12 Foundation. Next slide. The nominated condition is for
13 early onset both types, Metachromatic Leukodystrophy,
14 and moving forward will be called MLD.

15 Next slide. Brief clinical information, MLD
16 is an autosomal recessive condition, which results in
17 life shortening. It is caused by dysfunctional
18 Arylsulfatase A enzyme, ARSA, leading to a build-up of
19 sulfatides. The sulfatide build-up affects central

1 nerves, central nervous system, peripheral nervous
2 system, and as well as invoked inflammatory response.

3 Next slide.

4 The clinical presentation, the prevalence is
5 estimated to be about 1 in 40,000 to 1 in 100,000. As
6 mentioned earlier, there are two major subtypes, early
7 onset, and late onset. The early onset is the one
8 that's being nominated for newborn screening. Early
9 onset is divided into two subtypes, Late Infantile
10 onset. Children typically present with motor delays,
11 followed by pretty predicable decline and death in early
12 childhood.

13 The other subtype of early onset, it's called
14 Early Juvenile, which the onset is slightly later.
15 Children often present with behavioral, cognitive
16 changes, followed by progressive loss of motor function,
17 and death in adolescence.

18 The other subtype is called onset
19 neuropsychiatric symptoms, which are variable

1 presentations. However, the management for early onset,
2 both late --- sorry, next slide. Management
3 includes- early onset recommendation for late infantile
4 and early juvenile.

5 Typically, the onset of the condition is
6 between 30 months to seven years of age, and the
7 recommendation of treatment is Lenmeldy, which is a gene
8 therapy, which is the target of the screening. For late
9 onset of late juvenile to adult form, the onset is
10 usually seven years to older. Currently, the
11 recommendation is monitoring and treated with
12 hematopoietic stem cell transplantation at some point.
13 Next slide.

14 The core requirements for nomination that
15 need to be considered include the validity of the
16 laboratory test. Number two, widely available
17 confirmatory testing with a sensitive and specific
18 diagnostic test. Number three, a prospective
19 population-based pilot study. Next slide.

1 The key questions that we, as a subgroup
2 needed to address, include the following nine topics. I
3 will discuss the first two, and then we'll hand over -
4 -- sorry, next slide. So the key questions that we need
5 to address include these nine topics. I will address
6 the first two, and will hand over the presentation to
7 Dr. Caggana. -Next slide.

8 Key question one, is the nominated condition
9 medically serious? We concluded that the answer is yes
10 based on the clinical presentation that I discussed
11 earlier. Early onset both late infantile and early
12 juvenile children have significant shortening life
13 expectancy. Late onset has variable symptoms. Next
14 slide.

15 Key question 2, is the case definition and
16 the spectrum of this condition well-described to help
17 predict the phenotypic range of those children who will
18 be identified based on population based screening. Our
19 answer is yes. The onset age onset of early onset

1 subtype for late infantile it's under 30 months, and for
2 early juvenile it's between 30 months to seven years.

3 That accounts to up to 60% of children with
4 MLD. There is some genotype phenotype correlation, but
5 not definite. Null variants are thought to be more
6 severe, and there are some common variants that align
7 with phenotype. Newborn screening, however, will detect
8 late onset patients. The target for the presymptomatic
9 treatment is of the early onset. Next slide.

10 DR. CAGGANA: All right. Thank you. I will
11 cover the remaining key questions. So, key question
12 three is are prospective pilot data from U.S. and/or
13 international from population-based assessments
14 available for this condition? And the answer is yes.
15 You've heard a little bit about the multinational and
16 multiinternational studies.

17 One of the largest ones was from Hanover,
18 Germany, where they screened almost 110,000 babies.
19 They used a mix of three different sulfatides during

1 this pilot study for the first tier test. Of the
2 109,000, 381 screened positive for the first tier, so
3 that was about 1 in 287 babies screened.

4 They did change parameters over time, meaning
5 that they altered the cut-off over time, and so it was a
6 little difficult to assess when that occurred. Then
7 that would impact the number of screen positive babies
8 that the sulfatide first tier test. Of all of the
9 samples that they had of the 381, only 230 were
10 available for ARSA enzyme analysis, and they did test
11 all of those.

12 They came up with 20 infants who had low
13 enzyme results on the ARSA, and then they subjected
14 those to DNA sequence analysis, so that's going to be
15 what's proposed to be the third tier of this newborn
16 screening assay. Of those 20 infants, three of them had
17 two ARSA variants, and the definitive MLD diagnosis.

18 Three of the 20 were detected to be carriers,
19 and they identified of those three cases total that had

1 two variants, two of those were early onset, so the
2 target that we're talking about, and the other baby was
3 late onset, and they depicted that by genotype.

4 They went back and sequenced all 381 infants
5 that screened positive on the first tier sulfatide, and
6 out of that group they found three additional ARSA
7 carriers. They found three SUMF1 carriers, that's
8 multiple sulfatides deficiency, who those infants are
9 expected to have a high sulfatide level, but they would
10 also fall out on the ARSA enzyme analysis, so they
11 wouldn't be necessarily picked up with the multi-tier
12 test.

13 They also found four
14 PSAP carriers in that second cohort, and three
15 additional ARSA carriers were screened positive. And
16 so, overall they used this three tier panel, and in this
17 publication they also had a nice flow chart of the
18 proposed screen. I just want to emphasize that
19 screening is going to identify both the early and late

1 types, and so there are acceptable monitoring protocols
2 and treatment for the late onset types, but programs
3 will have to come up with a mechanism with their
4 physicians to monitor for the late onset form.

5 Next slide. This is key question three
6 again, another study that you've heard a bit about was
7 the study from Dr. Gelb, Hong et al. in 2023 reported a
8 validation, kind of a retrospective deidentified
9 validation pilot study of 27,000 dry blood spots from
10 the Washington program, and in this study using the
11 first tier -- they used the first tier, only the C16:0
12 sulfatide. 1 in 140 babies screened positive for that
13 part of the assay.

14 And then those specimens were also tested for
15 ARSA enzyme analysis. They detected then one case of
16 MLD, the genotype being identified two variants that
17 have been reported in the literature previously, but
18 because it was deidentified, they were not clinically
19 confirmed in this retrospective pilot.

1 They also had newborn screening specimens
2 from 40 MLD cases from around the world, and all 40 of
3 them screened positive in their assay. So, then moving
4 to the Bekri study, they did further investigation
5 looking at using the multiple sulfatide test, using the
6 C16:0, and the C16:1-OH forms of sulfatide, they tested
7 a replicate set in the Bekri study of 592 samples for
8 the multiple sulfatides, and along with other global
9 newborn screening programs, who were using both.-- they
10 combined their data.

11 And in the Washington study are the 592
12 samples. Zero of them screened positive, indicating
13 that the C16:1-OH marker is the good marker, but you
14 probably also would want to do both. There's other
15 pilot studies ongoing around the world, and there's been
16 cases identified in many other countries, particularly
17 looking at high risk populations, presumably where
18 there's an affected family member, and a higher risk for
19 MLD than the population range. Okay. Next slide.

1 The New York ScreenPlus study thus far when I
2 asked Joe Orsini for numbers, which was a little while
3 ago when we were preparing these slides, we enrolled a
4 total of 18,352 infants, 106 of those, and we were only
5 looking at the C16:0, with a cut off there of .25 mmol
6 per liter. If I back up to the Washington study they
7 were using multiple median cut offs, so a little bit
8 different cut off.

9 So, we did not have the ARSA enzyme assay
10 available to us yet. It's not available in our lab, and
11 Mayo Clinic is also working on an assay, and on a dry
12 blood spot that they would use as a second tier. And so
13 for ScreenPlus, we'd take the screen positive first two
14 sulfatide baby samples, and we would subject them to DNA
15 sequencing.

16 So, 106 infants were forwarded to DNA, so
17 overall, 1 in 173 babies were positive on the sulfatide,
18 and were subjected to DNA. Of those, one was positive,
19 meaning it had a referral, meaning it had two variants

1 in the DNA, and ended up being a false positive, so kind
2 of a small cohort so far. Next slide please.

3 Does the screening test have established
4 analytic validity? We conditionally say yes. The
5 Hanover study used accreditation from ARCHIMEDlife, this
6 entity did a validation study on 500 random dried blood
7 spots. They have five known MLD case screening Guthrie
8 cards from known people with MLD. The validation study
9 that they reported included the typical things you would
10 do, and the analytical validity, analytical validation,
11 which was carryover, cross-contamination, linearity, and
12 limit of detection, lower limit of quantification and
13 intra-run precision, inter-run precision and post
14 processing stability studies.

15 There's also a proficiency testing program
16 that's done via specimen exchange with a group in
17 Manchester, and that Bekri study that I talked to is an
18 international collaboration, looking at the 16:1
19 hydroxy. And from there, using the sulfatide, the

1 thought is that the rate of second tier positive is
2 reduced about ten-fold.

3 Next slide. Key question five is are the
4 characteristics of the screening test reasonable for the
5 newborn screening system, among other aspects, a low
6 false positive rate. So the proposal for this test is a
7 three tier screen, so the first as I talked about was
8 the MLD sulfatide screening, including the 16:1 hydroxy
9 using LC/MS-MS. The second tier would be ARSA enzyme
10 analysis.

11 This requires a gel cleanup, it's a sephadex
12 gel clean up and a separate method LCMSMS to detect
13 enzyme activity. So, basically you extract the blood
14 spots. You clean it up using a gel step, then you
15 incubate, and then you run it on the mass spec. So,
16 it's a little more complicated than a typical mass specs
17 in you know, through newborn screening, but the idea
18 here is that it would be a lower volume of specimens
19 going to that second tier, especially using the 16:1

1 hydroxy.

2 And then the last step would be sequencing of
3 the ARSA gene, because this could give us information on
4 the type of MLD that the family would have, and identify
5 carriers, and that sort of thing. And that's expected
6 to be fairly low volume, especially after the ARSA
7 enzyme assay. Next slide please.

8 Continuing, some of the other things that we
9 came across and discussed. The MLD sulfatide screening
10 can be multiplex, along with Niemann-Pick-, Pompe,
11 Krabbe, Gaucher, Fabry, MPS-I, MPS-II, and other MPS
12 disorders, as well as Tyrosinemia Type I, and
13 Adrenoleukodystrophy, and I underlined and starred all
14 of the conditions that are currently on the recommended
15 uniform screening panel, so some states are either doing
16 all of these, or they're getting close to doing all of
17 these tests.

18 You can also add Cerebrotendinous
19 Xanthomatosis and Niemann-Pick Type C, and so you would

1 have a multiplex on the LCMS assay. Programs are
2 leaning more and more-, especially with these types of
3 conditions towards implementing higher tier testing to
4 help improve specificity.

5 We talked a bit about yesterday about the
6 Centers for Excellence, and the idea that there could be
7 cross collaboration between programs. The ARSA enzyme
8 activity can be done either internally within the
9 newborn screening lab, if they choose to do that, or
10 externally. And sequencing, similarly, can be done
11 internally or externally, and states are working towards
12 mechanisms to allow for these different tiers -
13 -- these- types of analysis to be done outside of their
14 programs as well.

15 The condition is not necessarily a time
16 critical condition that we have to transplant these kids
17 within a few weeks of life, and if we look across the
18 country we expect some are between 30 and 50 cases, and
19 screening will identify like everything we do, a

1 spectrum of cases, but that's not as I said atypical for
2 newborn screening. Next slide.

3 Is there widely available confirmatory tests
4 or diagnostic process with CLIA and/or FDA approval as
5 appropriate? The answer is yes. There are CLIA-
6 approved labs that perform the confirmatory testing, so
7 the confirmatory test is - is leukocyte ARSA enzyme
8 analysis. -Urinary sulfatide concentration can be done
9 either in a qualitative or quantitative form.

10 Qualitative is thin layer chromatography, and
11 the quantitative form I believe is LCMS as well, and
12 also DNA sequence analysis, if it's stage two is not to
13 do that as part of the newborn screen, and do the first
14 and second tier test only in house. We believe the
15 number of babies who will need confirmatory testing
16 across the country will be low, and there is no FDA-
17 approved confirmatory test, which is typical of rare
18 disease, as we all know, but at least, you know, we'll
19 see where the LDT goes, and at that point we will have

1 to embark on FDA approval. Next step, next slide.

2 Number 7, are there defined treatment
3 protocols for the condition when identified
4 pre-symptomatically and treatment generally available?

5 We say yes. There are expert consensus guidance
6 documents, and there was a Delphi analysis on the
7 management of MLD included in the nomination package.

8 The Lenmeldy package insert has obviously step by step
9 rules for the administration of the treatment, and as
10 you heard from Mr. Suhr, there's also qualified
11 treatment centers across the country.

12 There's five or six of them. But we also
13 need to consider the detection of MLD through newborn
14 screening will make all patients eligible for disease-
15 modifying treatment, but we have to be cognizant of
16 issues as we've heard about related to insurance and
17 traveling. That treatment may not be universally
18 available.

19 And so, it's incumbent upon us to make sure

1 that we work as hard as we can to create a mechanism to
2 allow that to happen because as we said yesterday, we
3 really don't want to identify these kids for which a
4 treatment is available, and then have it inaccessible to
5 them.

6 Next, key question 8. Do the results have
7 clinical utility, balancing and harms? We say yes. Of
8 interest there was a retrospective report done in the
9 United Kingdom. This was done after the approval of
10 gene therapy. They collected 17 cases of MLD, and they
11 reviewed their case records and found that only four of
12 those 17 MLD cases would be eligible for gene therapy at
13 the time of diagnosis, and that's because three of them
14 had an affected sibling, as we heard, and then one other
15 one was identified early and was asymptomatic at
16 diagnosis.

17 All the other cases, the other 13 had more
18 advanced disease, and therefore not eligible for gene
19 therapy. The newborn screening pilots always use a

1 natural history comparator, and the therapy has some
2 side effects, obviously, that's commonly seen with the
3 administration of chemotherapy, which is needed for the
4 gene therapy, and also complications with ARSA
5 antibodies, and we see this in Pompe disease and other
6 types of these conditions and therapies as well.

7 So, the side effects of the therapy are well-
8 known and established. There was a study by Fumagalli
9 reported in 2022. They had 29 treated patients, almost
10 all of them I think were asymptomatic, and by
11 asymptomatic they meant that their early symptoms
12 were ---- fall into the early symptom category that had
13 as an IQ greater than 70, and be- able to walk ten
14 steps.

15 So, they treated asymptomatic, and people
16 qualified who had early symptoms as I've just described.
17 Two of the transplanted individuals died due to disease
18 progression, and these were the ones that were
19 symptomatic at treatment administration, and one died of

1 an ischemic stroke after infection, and they were sort
2 of unclear as to the cause of death, but didn't think it
3 was related to the therapy.

4 The remainder of the treated patients were
5 alive and generally had preserved cognition and motor
6 function, and they compared this to a natural history
7 cohort of 31 MLD cases, and all of these individuals
8 suffered the typical decline without treatment in the
9 same timeframe. Next slide please.

10 Question 9, does screening identify those
11 most likely to benefit from treatment? Yes. Screening
12 will identify infants with MLD, both early and late
13 onset, and noting early is the target for this. Early
14 identification and treatment will prevent the
15 development of symptoms. We've seen Giovanni's story
16 here, and whether the treatment is gene therapy.

17 So, the late onset of forms tends to be a
18 management watch and wait, and then a stem cell
19 transplant, at least right now, like the standard of

1 care for late onset, and that's also outlined in those
2 management guidelines that were submitted. To an extent
3 the genotype can help predict early versus late.
4 Basically an early onset case is a null allele where
5 there's no enzyme activity, and the later onsets have
6 residual activity.

7 And so, genotype and enzymes levels also can
8 help predict what type of MLD is present. And improved
9 outcomes have been reported in the literature, and as
10 described in this presentation. Next slide. So, the
11 key questions summary is listed here. I'm not going to
12 read over it. The answers in this nomination package
13 satisfied almost all of the key questions that we had.

14 And so, we -- --our recommendation is that we
15 should move it to evidence review. Thank you.

16
17 **Committee Discussion**

18 DR. CALONGE: Thank you, Michele, and thank
19 you Chanika. I'll now open the floor for questions and

1 comments from Committee Members. Carla?

2 DR. CUTHBERT: Thank you both for your
3 presentation. I have a comment and a sort of a
4 question. The comment is just to let you know that at
5 CDC we are working on our own methods for the sulfatide,
6 and for the enzyme assay, and we're also working at
7 developing reference materials as well, so again, this
8 is something that we routinely do when conditions are
9 being routed through this particular process, so that we
10 get a head start. It takes a long time to get it done.

11 But just to let you know where we are with
12 respect to that. The question is for Michele, you said
13 that with your, with the assay that you guys are using
14 the C:16?

15 DR. CAGGANA: Yes.

16 DR. CUTHBERT: For the first tier test, and
17 that resulted in a number of screen positives, and we're
18 just wondering whether or not you guys are considering
19 moving to the 16:1 hydroxy, it seems to be a better

1 performing biomarker?

2 DR. CAGGANA: Yes. The New York screen plus
3 study has been ongoing for a little while, and so we
4 started off doing the 16:0, but we have some internal
5 standard for the 0:1, and we're working on adding that
6 to the ScreenPlus as well.

7 DR. CALONGE: A couple of quick questions.
8 So, Michele, with the false positive that you generated
9 from ScreenPlus, would that have not been a positive
10 with another screening strategy? And what was the fate
11 of that false positive?

12 DR. CAGGANA: So, my understanding is that it
13 was referred for MLD. So the way ScreenPlus is a little
14 bit different than the way we specifically do newborn
15 screening because they're all from a single center, and
16 so we made the referral based on the finding of two
17 variants in the ARSA gene. I think one was path, and I
18 believe one was a variant of uncertain significance.

19 And so, we made the referral based on that

1 result, and then they go to Dr. Wasserstein, and then
2 she does the enzyme activity after the fact, and so
3 that's when it was deemed to be a false positive. So,
4 in a true --- if we do the three tier-, my gut is that
5 we would not have referred that baby.

6 DR. CALONGE: Because the enzyme activity. --

7 DR. CAGGANA: Right.

8 DR. CALONGE: Do you know if in the Hanover
9 study or somewhere else, whether ARSA carriers were
10 notified?

11 DR. CAGGANA: Notified?

12 DR. CALONGE: Whether the results were
13 returned?

14 DR. PHORNPHTKUL: I don't believe so. In
15 the literature, in the paper.

16 DR. CAGGANA: I think it's in
17 there that they didn't, that they were not eligible to
18 be reported, or something like that, so. Thanks.

19 DR. CALONGE: Other questions? Ash?

1 DR. LAL: Just seeking a clarification. So
2 during the screening, the second tier where the enzyme,
3 I think that's enzyme quantification, right? Where it
4 comes to retesting the enzyme activity. Is that correct
5 to say?

6 DR. CAGGANA: You incubate with an internal
7 standard, and that you use to compare the product to,
8 and so you're looking at an enzyme activity, but you're
9 looking at it in a dried blood spot. The confirmatory
10 test is in whole blood, so looking at essentially a
11 leukocyte activity for ARSA on the confirmatory test.

12 DR. LAL: Okay. So if then three tier
13 strategies - you- claim that as a confirmatory, the
14 three steps together, or is there a need for further
15 confirmatory testing after that?

16 DR. CAGGANA; It would be like anything we
17 do, it's going to be further confirmatory test, and so
18 the confirmatory tests are the leukocyte ARSA activity,
19 urine sulfatides, and then if it's not done previously,

1 depending on how the newborn screening program operates,
2 you would do the DNA sequencing as well, so it's another
3 panel of confirmatory tests.

4 DR. CALONGE: Melissa, online?

5 DR. PARISI: Thank you, can you all hear me?

6 DR. CALONGE: Yes.

7 DR. PARISI: Great, thank you. I just wanted
8 to inform the Committee, and those gathered that the
9 NICHD at National Institutes of Health is actually
10 competing a pilot study for metachromatic leukodystrophy
11 screening among our pool of pilot states.

12 The proposals are due August 16th, and we
13 anticipate issuing a task order award by the end of
14 September. We don't know if there will be data
15 generated from the pilot that will inform this
16 nomination, but we're certainly trying to support pilot
17 screening within the U.S. in a prospective manner to
18 help support the nomination as well.

19 DR. CALONGE: Thank you, Melissa. At this

1 point I'd like to turn to our Organizational
2 Representatives for any questions or comments. Sabra?

3 DR. ANCKNER: I just have a really technical
4 question. How long are families going to need to be at
5 this, one of the five centers for treatment? How long
6 are we talking? I mean, you know, how long of the
7 course of induction chemo?

8 DR. PHORNPHTKUL: I'm not sure.

9 DR. LAL: Would you like me?

10 DR. PHORNPHTKUL: Yeah, that would be
11 helpful.

12 DR. LAL: Extrapolating from conditions that
13 have been treated by lentivirus-based gene therapy,
14 there is a --- the- time commitment is divided into two
15 in general. The first is to do the autonomous sense of
16 collection that are then used for gene modification and
17 drug production.

18 The second is the admission is required for
19 the actual stem cell transplant. So the transplant that

1 requires a certain duration of staying in the hospital,
2 but after there are a few months that the families ask
3 to stay within a really short distance of the treatment
4 center. So, the total time commitment the second time
5 could be close to four months possibly.

6 DR. ANCKNER: Yeah. So I just think it's
7 important to just note that when thinking about how
8 we're paying, it's not just the drug and the travel,
9 it's the caregiver and family at one of five sites for
10 months, which is great, but also expensive, so.

11 DR. CALONGE: Thanks, Sabra. Scott Shone,
12 online?

13 DR. SHONE: Thanks. Scott Shone from ASTHO,
14 or Org Rep from ASTHO. So Michele, I just wanted to on
15 your slide, you had --- on one of your slides you talk
16 about multiplexing screening for MLD with a variety of
17 other lysosomal disorders, and other disorders. And so,
18 I just wanted to confirm that the screening method
19 because maybe I sort of conflate different things on

1 this, is that the marker for this is not part of a
2 current FDA-cleared test, right? The initial- screening
3 marker?

4 DR. CAGGANA: In an FDA-cleared test? No.
5 Not yet.

6 DR. SHONE: Okay. So, thanks. And I just
7 want to because of Michele's comments earlier, and then
8 hearing all the comments during the public comment, I
9 just want to draw your attention to the fact that MPS1,
10 Krabbe, Pompe, ALD,- Tyrosinemia- Type I, are currently
11 part of an FDA--cleared kit that many states use,
12 including my state. When you add a noncleared target to
13 a cleared assay, you then make- the entire test an LDT,
14 a laboratory developed test, which would be then be
15 subject to the concerns that were shared earlier.

16 And so, I'm not saying that that necessarily
17 impacts any of this, other than to say this is a new
18 barrier that is being --- and I wanted to put a sort of
19 real world example to it, of here is a very robust

1 presentation by our colleagues on the Committee about
2 this disorder, but the method that we need to use in any
3 laboratory is not FDA--cleared, and can impact already
4 existing FDA cleared tests that are finding babies
5 across this country with conditions.

6 And so, I just wanted --- forgive me for
7 getting on a soap box, but I don't want to miss the
8 opportunity to bring an example of the real world impact
9 of the new final rule on existing tests, as well as our
10 decisions, and how we proceed with tests for disorders
11 coming down the pipeline. -Thank you.

12 DR. CAGGANA: Yeah. I think we had the same
13 issue with GAMT deficiency and some of the others, so
14 yeah. We're, yeah, thanks for putting that out. I
15 forgot to mention that.

16 DR. SHONE: Right. GAMT and MPS II, two
17 recent additions to the RUSP that many of us with RUSP
18 alignment legislation are currently trying to add in
19 this new regulatory environment.

1 DR. CALONGE: I see no other hands, no other
2 comments or questions. I wonder if I could ask either
3 Michele or Chanika to make a motion?

4 DR. CAGGANA: I'll make a motion to nominate
5 MLD for evidence review, to move MLD into evidence
6 review.

7 DR. PHORNPHTKUL: I'll second.

8 DR. CALONGE: Is there a second, and Chanika
9 seconded. If there is no further discussion, I'd like
10 to ask Leticia for a roll call vote, noting that
11 Christine Dorley has recused herself.

12 COMMANDER MANNING: Noted. From the Agency
13 for Healthcare Research and Quality Robyn Sagatov?

14 DR. SAGATOV: Yes.

15 COMMANDER MANNING: Michele Caggana?

16 DR. CAGGANA: Yes.

17 COMMANDER MANNING: Ned Calonge?

18 DR. CALONGE? Yes.

19 COMMANDER MANNING: From the Centers for

1 Disease Control and Prevention, Carla Cuthbert?

2 DR. CUTHBERT: Yes

3 COMMANDER MANNING: Jannine Cody?

4 DR. CODY: Yes.

5 COMMANDER MANNING: From the Food and Drug
6 Administration, Paula Caposino?

7 DR. CAPOSINO: Yes.

8 COMMANDER MANNING: From the Health Resources
9 and Services Administration, Jeff Brosco?

10 DR. BROSKO: Yes.

11 COMMANDER MANNING: Jennifer Kwon?

12 DR. KWON: Yes.

13 COMMANDER MANNING: Ash Lal?

14 DR. LAL: Yes.

15 COMMANDER MANNING: From the National
16 Institute of Health, Melissa Parisi?

17 DR. PARISI: Yes.

18 COMMANDER MANNING: And Chenika Phornphutkul?

19 DR. PHORNPHTKUL: Yes.

1 DR. CALONGE: Please note that the motion
2 passes unanimously with one recusal. And so the MLD
3 will move on to full evidence review from the evidence
4 review group. I would also remind us all from yesterday
5 that this also initiates the process for the public
6 health assessment, and so, we'll be working with Jelili
7 and APHL and the ERG to start collecting information
8 necessary for that assessment. Any further questions?

9 I -- do we want to take another break, or do
10 we want to move ahead?

11
12 **Naming/Counting Condition ACHDNC Ad Hoc Topic Groups**

13 **(ATG): Updates and Next Steps**

14 DR. CALONGE: Let's move ahead if we have our
15 presenters for the next presentation, which is going to
16 be Naming Counting Conditions. It's going to be Susan
17 Tanksley and Susan Berry. Susan, okay if we go ahead
18 without a break?

19 DR. BERRY: Yes.

1 DR. CALONGE: Sorry. Just some -- by-way of
2 introductions, Susan Tanksley is the Deputy Associate
3 Commissioner and Deputy Laboratory Director for the
4 Public Health Laboratory Division at the Texas
5 Department of State Health Services in Austin.

6 Dr. Tanksley oversees public health
7 laboratory informatics, grants, legislative affairs, and
8 special projects. She has served on the Advisory
9 Committee for Heritable Disorders in Newborns and
10 Children, as an Organizational Representative for APHL
11 since 2013, and has served as a member of the Evidence
12 Review Workgroup for the Acting since 2012.

13 She received a Ph.D. in genetics from Texas
14 A&M University in 2000 and has been certified as a high
15 complexity laboratory director through the American
16 Board of Bioanalysis since 2005.

17 Dr. Sue Berry is an Organizational
18 Representative for the Society for Inherited Metabolic
19 Disorders. She's a Professor of Pediatrics at the

1 University of Minnesota, and a member of the Division of
2 Genetics and Metabolism. She's a Fellow of the American
3 Academy of Pediatrics, and a founding Fellow of the
4 American College of Medical Genetics and Genomics.

5 She is a current President of the Society for
6 Inherited Metabolic Disorders, and a member of the
7 Boards of Directors for the National Organization for
8 Rare Disease, and for the National PKU Alliance, and is
9 currently PI of their PKU patient registry.

10 So, I welcome you both and am pleased to
11 listen to your presentations. Thanks, Sue.

12 DR. TANKSLEY: Good morning and thank you to
13 the Committee for allowing us to present our updates
14 from the Ad Hoc Workgroup on Condition Counting. Next
15 slide. So many years ago, the issue of counting
16 conditions and naming conditions came up very soon after
17 the ACMG put together their panel of recommended
18 conditions. And so, this has been an issue for many,
19 many years, and what happened during that timeframe, so

1 after the ACMG panel, and even prior to that is there's
2 this competition that developed between public labs and
3 private labs.

4 We don't have that tension anymore now,
5 thankfully, but we do continue to have this
6 inconsistency in how conditions are listed on newborn
7 screening panels, and the numbers that result from that,
8 so how are they actually counted? Next slide.

9 And so why does this matter? So this is a
10 snapshot taken from three different websites across
11 looking at five different state newborn screening
12 panels, and just even within a state there can be
13 inconsistency in how many conditions are listed as being
14 screened for. So, this causes confusion. Next slide.

15 And so, even though there may not be an
16 actual disparity that exists, it can appear that there
17 are differences in conditions that are being screened,
18 and this is both in the number of conditions, as well as
19 how they're named, and so this can lead to that

1 confusion, and not just across newborn screening
2 programs, but with the public itself, and what is the
3 state actually screening for, and how do you compare
4 across states?

5 Next slide please. So three years ago, APHL
6 and the newborn screening community recognized that this
7 was an issue, and so an original taskforce was formed,
8 and we had two primary goals.

9 One was that we identified that we really
10 needed some guidance on defining what does it mean to
11 screen for a condition, for the simple purpose of
12 harmonizing our numbers in how we count. And then
13 secondly, we wanted to improve that uniformity and being
14 able to understand what's being screened for in one
15 state versus another, just to say we needed consistency
16 in what the conditions are named as well. Next slide.

17 And so, our original condition counting
18 taskforce came up with some recommendations, and we
19 presented these at the Newborn Screening Symposium in

1 2022. And so, we developed some rules around counting,
2 and so those original rules that we developed were, the
3 first one was really about what does it mean to screen?

4 And so, we spent a lot of time trying to
5 define what screening was, and we based that on intent.
6 It's the intent to identify a condition. So, when you
7 screen you're looking for something, but you also pick
8 up many other conditions as well. And then we also
9 realized that when you screen for a condition you're not
10 just picking ---- again, you're- not picking up just
11 that one condition, but there is the spectrum of
12 severity across all conditions.

13 And if you think about PKU, of course, the
14 original disorder that was screened for, you realize
15 that because you're screening for an elevation of
16 phenylalanine, you're going to pick up a complete
17 spectrum from classical PKU, all the way down to hyper
18 phenylalanine -- goodness that's a hard word to say.
19 And so, there's completely benign, all the way to

1 classical PKU, but that's where cutoffs are, and that's
2 how we determine screening programs, what is it that
3 we're trying to screen for, yet we're- going to pick up
4 that entire spectrum.

5 So, we decided that we want to count
6 phenotypes or clinical consequences as one condition,
7 even though the spectrum of severity, and so those were
8 our two main rules that we developed, and we presented
9 at the symposium. And we also at that time started
10 talking about nomenclature, and how would we actually
11 recommend the changes in nomenclature.

12 So, we presented this information at the
13 symposium, and then immediately launched a survey to
14 anyone in attendance at the symposium, and then it also
15 went out via listserv. And so, what came out of that
16 survey was that in order for any state to adopt the
17 framework, they said it would have to be endorsed by the
18 Advisory Committee.

19 And so, we continued to discuss this, and

1 then, next slide, in May of last year I made a public
2 comment to the Committee, and these were the three main
3 recommendations as a spokesperson for the Workgroup as
4 to what we were suggesting. And so, we wanted to first
5 of all remove all references to secondary conditions
6 from the Recommended Uniform Screening Panel, in
7 recognition that most of those conditions are on that
8 spectrum of severity.

9 And then we wanted to update certain core
10 RUSP condition names and groupings based on the current
11 knowledge of the condition, so much has been learned
12 since the ACMG made their recommended panel, which then
13 became the Recommended Uniform Screening Panel. And so
14 we really -- we suggested at that time to update the
15 core RUSP condition names and groupings based on the
16 current knowledge.

17 And then finally, we advocated for the
18 adoption of these recommendations by the ACHDNC because
19 that is what would be needed in order for states to

1 actually make the changes. Next slide.

2 In response to the public comment,
3 thankfully, it was decided to develop an Ad Hoc topic
4 group to address this uniformity in counting, and so we
5 are very thankful for that. And APHL, as part of their
6 New Steps grant received a task order in order to
7 coordinate this topic group. Next slide.

8 So this is a list of all of the Ad Hoc topic
9 group members, many of whom were on the original task
10 force, and we want to thank every member who has been a
11 part of this, whether it was part of the original group,
12 or the Ad Hoc Topic Workgroup. We also want to thank
13 our federal partners who participated in the
14 discussions.

15 We want to thank the Hemoglobinopathy Lab
16 Workgroup, and particularly Dr. Cathy Hassell, who met
17 with us recently to talk specifically about
18 hemoglobinopathy groupings, and nomenclature, as well as
19 endocrinologists, Dr. Ernie Post and Dr. Natasha

1 Heather, who met with us specifically on the endocrine
2 disorders.

3 And we tried to put together a diverse
4 workgroup, representing different parts of the newborn
5 screening system, so that we could capture concerns,
6 comments, and different perspectives. Next slide.

7 So, our group has been meeting since last
8 summer, and thankfully, we were able to meet mostly in
9 person. We did have a few who met via hybrid for our in
10 person meeting, which took place at the end of June.
11 And it was great to be able to have us together in
12 person and be able to dedicate a full day and a half to
13 really coming up with what are the final conclusions,
14 and our coming together on expert advice that we could
15 bring to the community today. Next slide.

16 So the first thing that we present to you
17 today is how we are defining intent to screen. And so,
18 what does it mean to actually screen for a condition?
19 And so, our input is that a newborn screening program

1 should say it's screening for and list a condition on
2 its panel only when the screening process is optimized
3 to identify the particular condition.

4 And then we said well, of course, we're going
5 to have to define what optimized means now, so next
6 slide. So, what does it mean to optimize? And this is
7 where it really comes down to, what is it that you're
8 screening for, how do you modify all the parameters of
9 your screening algorithm, so that you are truly
10 identifying most of the conditions?

11 All of it is a great algorithm, but it's not
12 always possible, and so we said that optimization of the
13 screening algorithm involves modifying those parameters,
14 so that sensitivity is balanced with an acceptable rate
15 of false positives. What is acceptable? That's going
16 to be defined by each state's screening program, and the
17 specialists that it works with.

18 And if there are cases that are not
19 identified by screening, each of those needs to be

1 investigated. We need to determine can the algorithm be
2 changed? What's the impact of changing the algorithm,
3 and if it's acceptable, then we should also modify the
4 algorithm, so that we could pick that up, but those are
5 decisions that have to be made each time there is a
6 false positive reported --- sorry-, a false negative
7 reported back to the program.

8 We all know that there are false positives
9 with screening, and that's a consequence of trying to
10 avoid the false negatives. So, optimization of a
11 screening algorithm involves this happening repeatedly,
12 and so continuously assessing and making adjustments as
13 needed.

14 Laboratories need to receive the screening
15 outcomes in order to do this, and this is all in as a
16 part of the process of improvement in the laboratory.

17 Next slide. I'm going to turn this over now to Dr.

18 Susan Berry.

19 DR. BERRY: We each argued about which was

1 the hard part, and we each decided that we got the easy
2 part of this discussion for ourselves, so thank you,
3 Susan, for going through that because it was a long and
4 really interesting process to do this with a tremendous
5 amount of input, and I hope I can do justice to help
6 describe some of the specificity and ideas that we came
7 up with.

8 So, we ended up determining that defining the
9 phenotypic spectrum would be really important for how
10 you decided how to enumerate or count, and we wanted to
11 present the concept that a condition should be only
12 listed and counted once, even when a spectrum of
13 severity for multiple subtypes. So for example, for
14 LCHAD and trifunctional protein deficiency, they're both
15 identified by identical --- by an identical metabolite-.

16 You cannot tell them apart on a newborn
17 screening assay. You require follow-up diagnostic
18 testing to do that, and so we should list and count both
19 of those as a single, and in this case, attachment

1 disorder. They are clearly different conditions. -We
2 understand and acknowledge that, that you will not be
3 able to separate them on newborn screening, and so it
4 should be counted as one.

5 Laboratories should, however, indicate when
6 their algorithm can be optimized to detect other types
7 of phenotypes, and so for example, when we're talking
8 about Krabbe, if some people are deciding they want to
9 watch for children with Psychosine levels between two
10 and ten, which is you know, the recommendation was ten
11 and the cut off is two, to identify infantile Krabbe.

12 Some programs will wish to identify those
13 children with the lower range Psychosine. They can do
14 so if their laboratory is optimized to capture those
15 children also specifically. Similarly, with for PKU,
16 right now our list is two disorders to call it classical
17 PKU versus hyperphe. It's really a spectrum disorder,
18 but if you optimize so that you are going to pick up
19 hyperphe and identify it, then you can count it

1 separately. Next slide please.

2 So, with regard to the secondary conditions,
3 which is part of the bone of contention for all of us,
4 and a source of complexity in our Recommended Uniform
5 Screening Panel as it now stands.

6 And in this case the recommendation that our
7 group arrived at were to apply that phenotype spectrum
8 rule to group or rename certain of the core conditions,
9 and then ensure that any related conditions not named on
10 the RUSP, are listed in their differential diagnoses or
11 detectable disorders that can be found in information
12 such as the ACT sheets for each of the RUSP conditions.

13 So, that means that some of the disorders
14 that we currently list as separate conditions, as even
15 core conditions at this point, would be it's not that
16 they won't be reported or detected, it's that they won't
17 be listed for counting purposes. It doesn't mean that
18 we're going to stop looking for them, it means that
19 we're going to be more uniform in how we understand our

1 reporting of that group of metabolites for example.

2 We're going to ask the Committee to consider
3 the option of reviewing the conditions that are on the
4 secondary list, so that we can decide if they should be
5 added to the core conditions. There are some that
6 probably deserve that. There are some that we should
7 just make go away.

8 You all know who they are. I won't say any
9 names, secret names. All right. And so, we should also
10 remove the designations between core and primary and
11 secondary conditions, just so that there's one list of
12 conditions that is Recommended Uniform Screening Panel
13 because I think it's only been a source of confusion,
14 and distress to have this distinction.

15 Next slide. We wondered if it was worth
16 having a standing workgroup, or some strategy by which
17 we can think about removing conditions, as well as which
18 conditions can be removed. And that's --- we'll come
19 back to this in some of our summary questions. Thank

1 you. -Next slide.

2 So some specific conditions, and we can kind
3 of give you some information, and there are probably
4 going to need to be some clarifications of an
5 explanation of what we were thinking through because it
6 took us -- we went round and round about this for quite
7 a while, so it seems familiar to us, but it takes a
8 minute to absorb it when we are upending what the
9 previous recommendations were, so next slide please.

10 All right. Now, one of the things we are
11 suggesting is that there will need to be a regular
12 review of the names to make sure the nomenclature
13 matches, and so for example we suggest that we should
14 align the naming with the currently recommended
15 nomenclature for disorders.

16 So for example, when we list what is now
17 referred to as PKU on the panel, the American College of
18 Medical Genetics has actually suggested that this
19 condition be referred to as phenylalanine hydroxylase

1 deficiency, and so we would recommend that that
2 nomenclature be used instead of calling it PKU.

3 It doesn't mean we're not respectful of the
4 long history of what PKU is and how it's been improved
5 by newborn screening, it means that we're acknowledging
6 the advances in science that have brought us to this
7 point. Beyond that, when there isn't a specific
8 recommendation along those lines, we may use the
9 disorder name based on biology or phenotype, so for
10 example, tyrosinemia type I, or Pompe disease rather
11 than necessarily using an enzyme.

12 When multiple enzymes can cause the same
13 condition, the disorder who is our target, and remember,
14 we are talking about what you're screening for, as
15 opposed to what you detect, right? So methylmalonic
16 acidemia caused by methylmalonyl co-A mutase deficiency
17 is the target.

18 Galactosemia caused by GALT deficiency is the
19 target. Homocystinuria caused by cysts finding beta

1 synthase deficiencies, the target that should be
2 identified in newborn screening, and congenital edema
3 hyperplasia, we understand there are multiple forms,
4 we're not saying that won't be detected, by the target
5 is CAH caused by 21 hydroxylase deficiency.

6 And when the analyte used may detect multiple
7 underlying causes of a single phenotype, you should list
8 the phenotype as the condition, so the best example of
9 that is possibly SCID. Yes, the target was actually
10 SCID, but it really identifies a broad spectrum, T cell
11 immunodeficiencies.

12 But what you're trying to do is identify
13 those severe combined immunodeficiencies, and you will
14 detect other disorders by using that strategy. Next
15 slide.

16 We had a very productive conversation with
17 our colleagues who were expert in hemoglobinopathy, and
18 the recommendations that they offered to us would be
19 that there would be four conditions that encompass the

1 conditions and phenotypes, genotypes and phenotypes in
2 our table, and these would be we would list sickle cell
3 disease, which would encompass a variety of genotype,
4 phenotype, genotypes.

5 Alpha-thalassemia, which again includes a
6 group of genotype phenotypes. Beta--thalassemia-, and
7 then we fully acknowledge, and they understood and
8 recommended to us that you name those three specific
9 conditions, and then group the other differences as
10 clinically significant variant hemoglobin.

11 And what we would say is that optimization,
12 once again a key element in this, will be necessary to
13 list, and other clinically significant hemoglobin allows
14 a state to list the possible genotype phenotypes, as a
15 single condition, and thus simplify their strategies.
16 It doesn't mean they don't detect, it's what are you
17 screening for. Next slide.

18 So for example, for galactosemia, this is
19 sort of an example that help people sort of gather what

1 we are trying to do. If you're using a first tier test
2 that looks for both the GALT enzyme, and total
3 galactose, programs can actually list two conditions
4 because they will have two sets of conditions if they
5 optimized.

6 They will be able to detect galactosemia due
7 to GALT deficiency, and non-classical galactosemia,
8 which includes the variant forms of galactosemia. Now,
9 those states that do not use galactose, would only be
10 able to list, and should only list galactosemia due to
11 GALT deficiency, so this is to follow our definition of
12 optimize.

13 Next slide. For congenital hypothyroidism,
14 most of you may be aware that some states use T4 as a
15 first tier, some use GSH, and you get a different
16 spectrum of disease in this, and so states that use
17 first tier, with the intent to detect central
18 hypothyroidism, via TSH, then they can list two, because
19 they can optimize for both primary and central

1 congenital hypothyroidism.

2 Those states that use TSH primarily may have
3 a different ability to list. They're not going to
4 necessarily pick up central hypothyroidism, and should
5 not list it amongst the conditions that they detect.

6 Next slide.

7 So we had --- we did a list of questions that
8 we hope will provide a forum for discussion, and I'll
9 briefly go through these, and then throw this back to
10 the team here. We wanted to really consider in thinking
11 about the differences between a condition we're
12 screening for, as opposed to one we may detect, what was
13 your target, we often bring this up-.

14 But this has been a point of contention for a
15 long time. What was your target? What were you trying
16 to screen? And what role will optimization play in
17 decision making about screening versus detection, and
18 how they're listed? So the community will want to
19 consider that set of issues.

1 We hoped that the Committee might be able to
2 agree that a condition should only be listed and counted
3 once, even if a spectrum of severity or multiple
4 subtypes exist, again to simply and uniform, make more
5 uniform condition counting and to make it clearer to the
6 public and to our constituents, what we're actually
7 trying to accomplish with newborn screening.

8 Now, in considering the nomenclature, we
9 wondered if -- would our nomenclature rules provide
10 better clarity for the intended targets, and could we
11 have standard procedures to facilitate that consistency
12 to support states in making these adjustments. Next
13 slide. We had a lot of questions for you.

14 So, is there utilities still in
15 distinguishing these core versus secondary conditions,
16 and what are the risks and benefits of doing so? That
17 requires, I think, some thoughtful analysis. Is there
18 sufficient evidence to swap some people, some conditions
19 that are currently on the secondary list to the core

1 list, and again, and the purpose of modifying and
2 unifying our list into a consistent, and understandable
3 group of conditions.

4 And finally, would there be mechanisms by
5 which the Committee can establish procedures on how to
6 remove conditions from the RUSP, and develop processes
7 to determine which conditions might be removed? A
8 touchy point that we've had difficulty talking about,
9 but it's time for us to at least discuss it.

10 And with that, next slide. I bring it back
11 to you. This was one of the most entertaining and
12 frustrating experiences ever to be on this group, and it
13 was such a valuable learning experience for all of us,
14 particularly for me, to see, you know, to think it
15 through and say how can we really make this better, and
16 improve our communications and the effectiveness of
17 newborn screening by thinking about what we call things,
18 and being clear on what we screen for as opposed to what
19 we detect, and with that I'll turn it back over to team,

1 and open the floor for discussion.

2
3 **Committee Discussion**

4 DR. CALONGE: Thanks for a great
5 presentation. I wonder if we could --- sorry-, keep the
6 slides up and go back two slides.

7 DR. BERRY: You want the questions?

8 DR. CALONGE: Questions that --- I don't
9 remember them.

10 DR. BERRY: I understand. We spent a day on
11 that, just the questions. Back two slides.

12 DR. CALONGE: It's slide 21. And as we get
13 that up, Jennifer?

14 DR. KWON: Jennifer Kwon, Committee Member.
15 I don't need the questions put up because I still
16 haven't graduated from the examples. Can you walk us
17 through --- so part of me keeps thinking that states are
18 going to differently reoptimize, and I'm not sure how
19 that gets controlled for, but I was wondering if you

1 could walk through your example of Krabbe disease, and
2 particularly, the situation that I'm sure is going to
3 come up that you know, the target was supposed to be the
4 initially Psychosine- over 10, but how about states who
5 have like a broader range?

6 How -- tell me again how that would work?

7 DR. BERRY: Let me make sure that I get this
8 right, so I'm going to look at you as I do this. So for
9 Krabbe, you know the recommendation by the Committee was
10 to the screening target is infantile Krabbe, children
11 who have low enzyme values, but the recommended second
12 tier test that's part of the appellation for the
13 condition with a Psychosine greater than 10, right?

14 And so, that's the target for screening.
15 Some states who have initiated screening, other than
16 some --- that was on the RUSP, use actually and report
17 the results of children who have Psychosine between two
18 and ten. And they feel that they can with confidence
19 identify those as children who have a meaningful

1 condition that will merit ongoing -follow-up-.

2 Those states that have optimized their
3 testing strategy to identify the children with those
4 lower Psychosine levels, can realistically count both
5 infantile Krabbe and other Krabbe subtypes that would be
6 identified by those lower. So, they would have two
7 things, they would have infantile Krabbe, the target
8 recommended by the recommended uniform screening panel,
9 and they, as you know, there are states that just
10 identify things that aren't on the panel, and that would
11 be one.

12 That would be something, and they could count
13 and enumerate it. We want to know what people are
14 doing. We just want to know to have them do it
15 consistently. Does that reflect it?

16 DR. KWON: Yes.

17 DR. BERRY: I'm just looking around for other
18 Committee members to make sure I don't screw it up.

19 DR. CALONGE: Jeff?

1 DR. BROSCO: Just a quick question, a
2 clarification of that. So, does that mean that the lab
3 is doing two different optimizations, one for Psychosine
4 above ten, and second from two to ten? Because I
5 thought that was the optimization, and that was one
6 condition, two optimization.

7 DR. BERRY: They would have to be able to
8 demonstrate that they had optimized their assays to
9 identify those children between two and ten, and the
10 case of Psychosine if they only have I think very good
11 Psychosine assay, either by their self-performance, or
12 by where they send it, then yes, they could consciously
13 say we have optimized both, and so we can identify both.

14 Other states may never accept or want to know
15 the results between two and ten, they couldn't claim
16 that they are identifying children with these variant
17 forms of Krabbe. They would have their target for
18 infantile Krabbe, which was the Recommended Uniform
19 Screening Panel addition.

1 DR. KWON: Do you think it's likely that
2 states are going to optimize --- I guess I would imagine
3 that states would say we're looking for Krabbe disease
4 with a Psychosine of greater than 2. I mean I think --
5 ---

6 DR. BERRY: If they're doing that, then
7 they're looking for more than one form, and they would
8 definitely be identifying more than one form of Krabbe,
9 and they can say they were.

10 DR. KWON: Okay. Okay.

11 DR. CALONGE: Carla?

12 DR. CUTHBERT: So, I really like what you
13 guys were doing, but I started getting a little bit of
14 anxiety.

15 DR. BERRY: Oh, we did too. So, join the
16 club.

17 DR. CUTHBERT: Only because I'm thinking
18 about Homocysteine - Cobalamin.

19 DR. BERRY: Very good question. We were

1 hoping someone would ask us about that.

2 DR. CUTHBERT: So, I can see a spectrum, and
3 then I can see, you know, there are a number of vitamin
4 deficiencies that if you have internally a series of
5 second tier tests, or other sort of testing in your
6 algorithm, in your state lab, to evaluate those, again
7 as a biochemical geneticist that causes me a lot of
8 excitement, but I'm just thinking that you -- we will
9 have programs with smaller lists, and programs with
10 longer lists, you know.

11 DR. BERRY: Well, so we have two things. We
12 have the Recommended Uniform Screening Panel and what's
13 on it, and then we have what states do, some of which
14 are tied to the RUSP, some of which are how should I
15 say, - free range, okay. And there will be discrepancies
16 in what states do. We acknowledge that. But what the
17 Committee acknowledges as being on the recommended
18 screening panel, and what states do with that, plus, are
19 two different things I think. So, will there be

1 still- asymmetries in what states do?

2 Yes. That's not changing. You're not going
3 to get everybody to only do what's on the Recommended
4 Uniform Screening Panel, that's not going to happen. We
5 don't expect that.

6 DR. CUTHBERT: I like the clarity that you're
7 talking about, and the way that you define what you're
8 looking for, but I still feel a level of anxiety.
9 Excitement, but anxiety.

10 DR. BERRY: What you're going through is
11 exactly what we did as we were working on it, which is
12 we had to think it through step by step. And as we did,
13 we felt more and more convinced that the path we were
14 following was attainable, and realistic. But you have
15 to think it through.

16 So, you asked for example, about the
17 Cobalamin disorders. So the target is methylmalonyl coA
18 mutase deficiency, methylmalonic acidemia. You will
19 likely detect other children with Cobalamin forms of

1 those disorders, Cobalamin A and B. I would argue that
2 the current strategy by which we identify- these
3 ineffectively detects those, misses a lot of them.

4 And we probably shouldn't claim we're
5 screening for something because we can't -- it's very
6 difficult to optimize assays as they currently exist for
7 Cobalamin A and B. It doesn't mean people won't try to
8 detect them, and won't report them, but they won't be
9 included as screened conditions that would be detected
10 conditions. Does that make sense?

11 DR. CUTHBERT: Okay. I like the intent, and
12 I like the intent is to identify pretty much as many as
13 -- I was going to say as many as you could.

14 DR. BERRY: Yeah.

15 DR. CUTHBERT: But identify all the cases.

16 DR. BERRY: But it helps programs to not end
17 up being in a position where they claimed they could
18 detect something that they really aren't prepared to do
19 because they didn't optimize for it. Their strategy was

1 designed to pick something else up, and by golly, you
2 detect this also. And so, you will detect it, you will
3 report it, you will do your best, but you're not going
4 to find every case of some of the detected disorders.
5 Yeah, go ahead.

6 DR. TANKSLEY: So, we were blessed to have
7 parent advocates on our workgroup, and their input was
8 so valuable. So, their concern is that how do we know
9 that our condition is like we just --- we want it listed
10 somewhere, and so we felt that the ACT sheets were an
11 appropriate place- to list because that has the
12 differential diagnosis.

13 And so, the original intent in ACMG when they
14 developed their recommended panel way back was that
15 these conditions would be -- so the core conditions were
16 scored, and those conditions made the core list, right,
17 the 29, and then there were these other conditions that
18 didn't quite score as highly, but actually could be
19 detected when you were screening for a core condition.

1 At the same time, some conditions were
2 elevated that aren't in the differential diagnosis, but
3 because tandem mass spectrometry was being used as the
4 screening method, if those analytes were detected and
5 identified as elevated, where it would indicate a
6 potential, then those were to be reported.

7 So, the original concept of secondary was
8 targets, now conditions, was that those results would be
9 reported. If they were identified. What we're
10 suggesting is that we are screening for a particular
11 disorder that we have optimized in order to identify.
12 At the same time, we're still going to identify most of
13 those conditions that are on the secondary conditions
14 list now, and it's in the differential diagnosis that it
15 will determine what is the actual diagnosis.

16 So, what we are proposing is that the
17 Recommended Uniform Screening Panel, or a state's list
18 because there are definitely states screening for things
19 not on the RUSP yet, but that the targeted newborn

1 screening, what is it that we have optimized the assay
2 to detect is what's listed.

3 And then those other things that could be
4 identified would be listed on the ACT sheet as part of
5 the differential diagnosis.

6 DR. BERRY: Again, we're not, not detecting,
7 we're not turning off the detection possibilities.
8 We're turning off the idea that you're going to list 15
9 things when it's what you're really listing is a
10 differential diagnosis.

11 DR. CALONGE: Melissa? Melissa? Okay,
12 Christine?

13 DR. PARISI: Sorry about that. Anyway, I had
14 a question about counting, and first of all this is just
15 an incredible amount of work, and I'm so impressed by
16 what you all put together. And it's kind of a tour de
17 force. But I was wondering about counting, and you
18 know, the potential for grade inflation and counting
19 inflation, which was one of the impetuses for this

1 activity.

2 And I'm just wondering if in the final count,
3 if we could make some sort of recommendation that
4 states, or programs indicate how many of the RUSP
5 conditions they screen for, and then I mean I know this
6 gets into a two tiered system again, and how many other
7 conditions they screen for. If we eliminate that
8 secondary category, but still have some sort of
9 differentiation. Because I think there's still some
10 value in understanding how many RUSP conditions a state
11 screens for.

12 DR. BERRY: Yes. I'm not going to speak for
13 everybody, but there's no way to get around the idea
14 that different states screen for different things.
15 That's not really changing, nor are we necessarily
16 recommending that it do so. But I think an
17 acknowledgement, if the Recommended Uniform Screening
18 Panel is a certain set of items, that you acknowledge
19 which states are able to, and have succeeded in being

1 able to screen for those.

2 And then they can certainly make sure that
3 people know that they've chosen to add other things by
4 whatever mechanism their state does that. There's going
5 to continue, you know, some of those will be by statute.
6 Some of those will be because they're a state newborn
7 screening panel and the advisory committee added them.

8 I would recommend against states adding
9 things that they're working up or trying. Those
10 shouldn't probably be counted, but there's no way that
11 we're going to make everyone screen for the same thing.
12 That's not how it works. That's some acknowledgement.
13 The goal is to get all the states.

14 That's what we're trying to do is get all the
15 states to do all the things on the RUSP, we've just got
16 to be clear what the RUSP is, what we're asking them to
17 do, and then people need to know that their state can
18 accomplish that, and whatever else states can do, more
19 power to the states, good for them, that they can add

1 other things to help children.

2 DR. PARISI: Thank you.

3 DR. CALONGE: Christine?

4 DR. DORLEY: Really good presentation. I
5 noticed the slide regarding counting conditions for
6 hemoglobinopathies that beta-thalassemia, and alpha-
7 thalassemia were listed. What other conditions from the
8 secondary targets was the workgroup thinking needs to be
9 reevaluated to maybe be- moved up to the core panel, and
10 then would that take advocacy groups?

11 Would it be because these were already
12 considered before, and put on the secondary target that
13 they go immediately to evidence review? What's the
14 mechanism behind it to re-evaluate these disorders, and
15 then move them forward?

16 DR. TANKSLEY: Thanks for the question. So,
17 first I want to emphasize that just because we listed
18 four categories of hemoglobinopathies doesn't change
19 what's on the Recommended Uniform Screening Panel. It's

1 a matter of defining, you know, what a state has
2 optimized screening for.

3 So, currently the variant other hemoglobin
4 are clinically significant other hemoglobinopathies is
5 listed, so it's a really great question, and one that
6 would have to be considered. We didn't think that far
7 through it for hemoglobinopathies, but we did think that
8 it's important because we have so many years of data at
9 this point, that there may be conditions on the
10 secondary list that are clinically significant, that
11 there have been advances in treatment.

12 There's so much data now that there might be
13 enough evidence to actually suggest that is a condition
14 that states should screen for to be advanced to the RUSP
15 versus a consequence of screening on.

16 DR. CALONGE: Ash?

17 DR. LAL: So I think the requirement for
18 optimization, intent and optimization, that's a great
19 start I think for considering whether or not a state is

1 screening for a condition. But I think if I thought
2 there was something that could be added to your
3 discussion and your workgroup is that what does a state
4 do with the results, the screening result?

5 Is there an intent to follow up? And what is
6 the path to that -follow-up? So, of course you can
7 diagnose a condition, but does that initiate then some
8 kind of a formal process to who is going to counsel
9 family, and how the patient is going to be followed if
10 the condition is a secondary conditions-, not the
11 primary?

12 DR. TANKSLEY: So, correct me if I'm stating
13 this wrong, please. So, add to the definition of the
14 intent to screen that there's reporting and follow-up-.

15 DR. LAL: That there's intent to follow up-?

16 DR. TANKSLEY: Yes, yeah, I think we took
17 that as a given as what screening means because we
18 weren't looking at it as just the lab test, but the
19 process. I agree, yes, there would definitely have to

1 be reporting and follow-up- on those in order for it to
2 be listed.

3 DR. LAL: Yeah. I would think that it should
4 be as formal as the process for optimization has been
5 demonstrated.

6 DR. TANKSLEY: Okay.

7 DR. LAL: And has been demonstrated, but
8 there should be I think in many of these secondary
9 conditions, especially as you listed the hemoglobin
10 disorders, many of them may not even perhaps need some
11 follow-up, maybe not, but how does the ----- what's the
12 communication pathway, and who has to bear the
13 responsibility that I think we need to lay out,
14 especially for secondary conditions.

15 And if you have both the intent and the
16 optimization, and the follow-up-, then I would agree
17 that that would be through the screening.

18 DR. TANKSLEY: So, you're suggestion is to
19 add to our optimization process about the follow-

1 up- piece of that?

2 DR. LAL: I think that I would certainly
3 think that's necessary for a condition to say there
4 would be a screening or something.

5 DR. TANKSLEY: In order to list it, include
6 the process of newborn screening, not just the
7 testimony.

8 DR. LAL: That's my thought, yes.

9 DR. CALONGE: Michele?

10 DR. CAGGANA: Just a couple comments. And
11 getting back to moving from the secondary to the
12 primary, I think the example was put up for GALE and
13 GALK, so they're on secondary now, but if you're
14 screening for them it could be promoted to the primary
15 list for a state. And then forgive me for bringing this
16 up, but I thought we had Krabbe counted as a single
17 condition, and then the difference of types would sort
18 of fall under the severity, and be more explained in
19 through the ACT sheet version?

1 DR. BERRY: There are details that we all
2 need to be ironed out, correct.

3 DR. CAGGANA: Okay.

4 DR. BERRY: So, if I haven't made it clear,
5 the whole point was that some states will clearly detect
6 them, and they could acknowledge that.

7 DR. CAGGANA: Yeah, okay.

8 DR. BERRY: We can make sure that they will
9 try to be consistent about them as well.

10 DR. CAGGANA: Yeah, yeah, I mean this whole
11 process is sort of trying to give people a roadmap on
12 how to categorize things.

13 DR. BERRY: Yeah. How to categorize things,
14 and you know, that was I told you it was important that
15 we were going to, you know, sort of plant someone in the
16 audience about the MMA if we haven't had it brought up
17 for example because that was one that was really
18 confusing and difficult for us in the discussion.

19 DR. CAGGANA: Yeah. This was quite an

1 adventure, so thanks.

2 DR. CALONGE: So, I have a few observations
3 and questions. I'm trying hard not to get in trouble.
4 I really liked this presentation.

5 DR. BERRY: Try not to get into trouble?

6 DR. CALONGE: Because I have to work with
7 them. I was just kidding. They're great partners and
8 colleagues. I like what you put forward quite a bit,
9 and I think the clarity could bring, and the ability to
10 really talk about the RUSP as a more coherent set of
11 uniform recommendations is important.

12 There's a Chinese proverb, call things by
13 their right name. I like that. That clarity, that
14 brings is very good. I think if you get to the original
15 core, I've always talked about because I was there after
16 it was approved. It did not go through evidence review,
17 it went through a vote. So, I think getting rid of the
18 phrase core conditions is a great idea.

19 And then I think having the RUSP be the RUSP

1 is also a good idea. Where I worry about getting into
2 trouble is I know that the statute says you should have
3 a secondary condition, I think we should move towards
4 figuring out how to make that a null set. So, if there
5 are no secondary conditions, you're still meeting the
6 statute, you just created a null set.

7 And I think the secondary conditions that we
8 should be screening for because of evidence of efficacy
9 should go on the RUSP. I think conditions that we look
10 at that don't meet the conditions, or the qualifications
11 for at least moderate certainty of at least modern net
12 benefit, at least to be considered, should not be on a
13 list. I think that separation gets clarity about what
14 uniform, sorry, Recommended Uniform Screening Panel
15 really means, so I want to say I support that.

16 We've talked about before, creating the
17 process, which we talked about in 2011. How to create a
18 process to take things off, to re-evaluate and say
19 should it stay on or not. I love the idea of a separate

1 workgroup that could do that because we haven't moved
2 the ball very much further, but I think that's a great
3 idea.

4 And I think one of the first things to look
5 at would be the secondary conditions, what should be
6 promoted, sorry, what should be part of the panel, and
7 what should drop off the panel. I love your idea about
8 nomenclature. I like that consistency. I don't care
9 what you call it, it has to be something that we can
10 recognize. There may have to be a translation table
11 that exists for a little while, but I think we can get
12 there.

13 Could you go onto the next slide? I'm just
14 looking at your questions.

15 DR. BERRY: We actually made a table like
16 that if anyone wants to see it.

17 DR. CALONGE: I think I covered all of these,
18 so I think one of the things that would be helpful is
19 for your group to at least continue for a while, and be

1 able to translate your recommendations into what it
2 would look like, and what you think the names would look
3 like.

4 What do you think --- where do you think we
5 should start by looking at the secondary conditions,
6 because it won't happen overnight, and thinking about a
7 way to work through them in a way that says it's either
8 on the panel, or it's not on the panel. The last thing
9 is the two words, "expedited systematic evidence
10 review," don't- go together, just so you know.

11 DR. BERRY: I know that.

12 DR. CALONGE: And so, I don't want to give
13 the impression that that's an easy course, but I think
14 it's something that could benefit the naming and
15 counting of conditions, and helping parents and
16 advocates understand what's being done state by state.
17 So, those are all my comments for what they're worth,
18 and I really applaud the work that's been done.

19 I have Jeff, and then Christine.

1 DR. DORLEY: Just a quick thought from you
2 guys on what you think about this regarding this order
3 on the core list that you don't have a really optimal
4 analyte for screening. And I think back to Tyrosinemia
5 Type I with Tyrosinemia being used for a long time until
6 [inaudible] came along. So, homocystinuria is another
7 example of an inoptimal analyte that's- used for
8 screening, which would be elevated finding.

9 So, can a state really say that they're
10 screening for homocystinuria when they are not
11 "optimized" with a really great analyte to pick up that
12 disease? And with the recommendation would you all
13 recommend because I know CDC has a new assay that's been
14 developed that is optimized for homocystinuria. How do
15 you reconcile that and come to a balance?

16 DR. BERRY: Well, homocystinuria is one of
17 the ones we actually had a long discussion about because
18 the challenge in that one is that we have it on the -
19 -- it's a core condition, and we do a terrible job with

1 it. Terrible job. And it's kind of like the cobalamin
2 disorders done at A and B. You probably will detect it,
3 or some of them, but you don't detect many children with
4 homocystinuria because we don't- have an optimized
5 assay.

6 Should it even be on the panel, that's not
7 because I don't want to detect it, it's just that we
8 don't have a really ---- we're not doing a very good job
9 with it, so one of the questions for some disorders
10 honestly will be is do we have an optimized evaluation
11 for that disorder, and if not, how do we accomplish it?
12 Where does it belong on the panel if it's not an
13 optimized one because I don't really think we want to
14 promise that our programs are effectively screening for
15 a condition that we missed so broadly. -

16 You know, it kind of a dirty secret I would
17 say that we don't do a very good job with them, but it's
18 true and everyone knows it. We don't do a very good job
19 picking up kids with homocystinuria, you're kind of

1 lucky if you get them. So, is that part of our
2 responsibility? Yes.

3 If there are disorders that we think that are
4 so important that they should be a primary disorder, you
5 better have an optimizable assay. So, that's my two
6 cents personally. I'm not going to say it's everybody's
7 job to do.

8 DR. CALONGE: Carla?

9 DR. CUTHBERT: So, I agree with you,
10 Christine, but I also perhaps see the evolution of
11 newborn screening being such that we have a biomarker
12 that we think is good enough, and that we think we're
13 picking things up. And then over time we realized well,
14 this is not good, and here are the reasons why.

15 And I'm not sure about what the process ought
16 to be because I think that the initial evidence review
17 would have indicated that this is a valuable disease to
18 detect.

19 DR. CUTHBERT: But a shift in the biomarker

1 may just be one of the quality improvements that's just
2 inherent in the entire process, and just have that
3 discussion, have that evidence. I don't know what the
4 process would be, but just to have that discussion that
5 we should move towards something that is better.

6 DR. BERRY: And I guess the paradigm for that
7 would have been the tyrosinemia section in the last time
8 issue because it was broadly acknowledged not very long
9 after we started, and included it, but it was a terrible
10 choice to screen for tyrosine. It doesn't detect most
11 if many of the cases, you have a lot of false positives,
12 it makes everybody crazy.

13 I spent many hours not having people with
14 tyrosinemia that I worked up, and the response of the
15 community was to improve that. I would hope that in
16 this similar, like it's very parallel. I would hope
17 that the Committee would acknowledge that there are ways
18 to improve that assay, and work together to do so, so
19 that we could responsibly say we were doing the

1 screening correctly.

2 DR. CUTHBERT: And if we say that this is
3 just the normal path, I think that that would be pretty
4 helpful in --

5 DR. BERY: Yeah. And the other thing is would
6 you allow you to optimize for the other homocystinuria
7 to really begin to think about how you look at low
8 methionine as opposed to high methionine, another and
9 missed opportunity that we have at this point.

10 DR. CALONGE: Jeff. Okay. Let me turn to
11 Debra.

12 DR. FREEDENBERG: Thank you for the
13 tremendous amount of work that is in thinking about how
14 to clarify this. I have two comments. One is really
15 more of a question, but it's a practicality. There are
16 some states who provide clinical resources to children
17 who have screened conditions identified in newborn
18 screening.

19 And if you take those conditions off the

1 list, then that state will not be obligated, and may not
2 provide any of the follow-up and clinical services for
3 those conditions that are clinically significant. And
4 so, I'm worried about a loss of resources for the
5 clinical population, if it's- no longer considered on
6 the panel, that condition.

7 And then the second thing I'm really
8 struggling with is thinking about like Cobalamin C's
9 where there are certain ethnic populations that have
10 higher incidences, and there's some states that have a
11 higher population of those ethnic variants. And so, I'm
12 struggling in trying to figure out how would that be
13 approached because it may be true with a state, and
14 multiple states, and it may not be recognized, and it
15 may not go on the condition list, you know, as a
16 whatever it is, for the Cobalamin C's. So I'm really
17 sort of confused about how that would be approached.

18 DR. BERRY: So, Cobalamin C was one of the
19 ones we had a long discussion about because it's

1 certainly on the secondary condition, and I think we
2 know a lot more about Cobalamin C than we do when we
3 started. I would submit that there's a reasonable
4 chance that that would be a condition that on the
5 appropriate consideration, there are a significant
6 number of children who benefit from identification that
7 it would fit the criteria, and not my place to say this.

8 But I think it would be one of the ones that
9 if you were going to move some that are on the RUSP,
10 you'd move it up, move it up, move it onto --- it would
11 become part of the core condition, as an example. Would
12 states say they're only going to deal --- so this is
13 part of our challenge in the paradigm shift we're
14 suggesting, which is that just because it's not named as
15 a condition doesn't mean you don't detect it as part of
16 newborn screening, and states would need to acknowledge
17 that in terms of service provision.

18 I don't know how to tell a state to behave,
19 but the intent is not to not detect and not indicate the

1 importance of those findings. It's simply not to count
2 20 things when one will do. That's the only --- so I
3 don't know how to fix that. It's a legitimate concern
4 that we would have to address-.

5 DR. CALONGE: Amy?

6 MS. GAVIGLIO: Yeah, thank you. Amy
7 Gaviglio, National Society of Genetic Counselors, and I
8 have several comments. The first is to just kind of
9 affirm what Dr. Caggana is saying as it pertains to
10 listing diseases with the phenotypic spectrum such as
11 Krabbe.

12 The Committee agreed that we would count it.
13 It would be listed once, and with a caveat of whether
14 you are truly really just targeting the infantile with
15 that 10, a Psychosine of 10, or not, and that would hold
16 true for things like PH deficiency as well.

17 I also really agree with this idea of, you
18 know, removing a list of secondary conditions and
19 deferring to the ACT sheets for several reasons, one of

1 which is Dr. Ostrander and I have spent years of our
2 lives reading every single word and tweaking every
3 single word of those ACT sheets, including keeping
4 abreast of all of the differential diagnoses that may
5 come from screening.

6 And if you look at the ACT sheets, the
7 differential lists that we have are much broader than
8 what is listed on the secondary, and so I do think
9 that's a really great resources, which also speaks to my
10 hope that they will continue on with maintenance because
11 I really can't understate their importance.

12 And then my final comment is a bit, I'm just
13 kind of cautioning us to in thinking about, you know,
14 relying on this idea of evolution of screening and
15 improvement, just simply in comparing it to the
16 standards that we are asking new diseases to come in
17 with, which are very high clinical and laboratory
18 analytical values, and so I just would ---- it causes me
19 hesitation of it to say oh, it's okay, you know, that we

1 don't have a great marker, it will evolve over time when
2 we're setting such a high bar for those diseases that
3 are coming in now, thank you.

4 DR. CALONGE: Thanks. Bob?

5 DR. OSTRANDER: Robert Ostrander, AAFP. I
6 have two comments. One is this was terrific. And
7 dealing with the sort of secondary conditions and
8 additional findings is something again that we deal with
9 all the time in clinical medicine. I mean we were -
10 -- again-, I take care of a lot of adults, and you know,
11 we always order a CT for one reason, and finding
12 another, finding something else.

13 And then we act on it, but we don't expect
14 that CT for abdominal pain to be a good screening test
15 for ovarian cancer. Although we stumble on it
16 sometimes, and that is the same principle that exists
17 here. We're screening for something. We come up with a
18 condition, and yeah, we need to act on it when we find
19 it, but if we start holding the screening test to

1 finding all of those, then we're going to shoot
2 ourselves in the foot and never complete anything.

3 So, I think this is not new. You know, this
4 is not a new concept that we stumble on things that we
5 have to act on, and we need to report them, but we can't
6 get ourselves all wrapped up in trying to then make it a
7 perfect test for that too.

8 My second comment, you know, just follows,
9 you know Amy's about our advisory group. Not only do I
10 hope and think we need to be continued you know, I think
11 the switch over, and is both an opportunity and a
12 threat.

13 We've lost some continuity on the threat
14 side, but the opportunity that if it's more under this
15 HRSA umbrella, it may allow for better coordination with
16 your group, and the ACT sheets, and that's going to be
17 critical if we're going to rely on the ACT sheets as a
18 component of what you're going to include and what
19 you're not going to include in the nomenclature piece of

1 the whole thing.

2 And I'm sitting here looking at the
3 hemoglobinopathies, and you're not going to make all of
4 the diagnoses match because the ACT sheets are for other
5 things. They are for some of these incidental things.
6 I mean I've got like 5 alpha-thalassemias, and you know,
7 Amy will tell you.

8 We did hemoglobinopathies in the thyroids at
9 the end because the different tests and stuff, we've got
10 different ACT sheets for the same screening condition
11 because of that, so I suggest that as you work forward
12 with whatever reconstitution of the ACT sheet workgroups
13 you create, that there be a combined advisory committee
14 where the two committees talk to each other fairly
15 regularly to achieve the goals that we discussed today.

16 DR. CALONGE: Thanks, Bob. Natasha?

17 MS. BONHOMME: Natasha Bonhomme, Genetic
18 Alliance. First and foremost I want to say thank you to
19 the Committee that has cleared worked, our ad hoc group,

1 that has worked so hard on this. This is something that
2 we've been talking about for a very, very long time. I
3 was just remembering that one of my first conversations
4 with Ken Pass, taking it way back, was about so why is
5 it different?

6 So that was a long time ago, and but this is
7 really, there's a bit of a culture change here, and that
8 takes time, and the good thing is we've been talking
9 about it for a long time, so we're like halfway through
10 that generation that usually takes for big things like
11 this to change. So it's good, it's a positive.

12 I will also say that, you know, my heart has
13 kind of been racing thinking about, how do we even start
14 to communicate this to parents. Like really racing.
15 And so, I know yeah, give me the paddles. So, I really
16 hope that as this group is you know thinking about the
17 next steps, in terms of nomenclature, and potentially
18 cross walks around that.

19 We're also thinking about having a similar

1 type of effort on the true communication and education
2 side, that it's not just post up these charts, and then
3 the education is done, no. It's really just going to be
4 beginning. And so, I really hope that whether it's the
5 constitution of this group, or a different group with
6 different experts around communicating to families, and
7 the public, because I know you had parent advocates, and
8 that's great, and we're glad that some of our parent
9 advocates are on that.

10 But that's one lens. That's a different lens
11 than the public, which is a different lens than the
12 media, who will want to construct things in a certain
13 way so again, thank you for all of this effort, and I
14 hope that there is the ability to support the efforts
15 that will need to come after this in the implementation
16 phase.

17 DR. CALONGE: Of course, Scott?

18 DR. TANKSLEY: Sorry, can I response first?

19 DR. CALONGE: I'm sorry.

1 DR. TANKSLEY: So, thank you Natasha. When
2 we had our in person meeting we spent about a half a day
3 on starting to think about communications, and we didn't
4 present any of that today because we wanted to present
5 our ideas to the Advisory Committee because we really
6 need responses to know what we are going to communicate,
7 but we've talked about communication to newborn
8 screening programs.

9 We've talked about communication to
10 legislative bodies. We've talked about communication to
11 parents and the public, and I'm probably missing
12 something else, but we have brainstormed what are all
13 the things, and all the bodies that we are going to need
14 to communicate to once we have guidance from the
15 Advisory Committee.

16 And thank you because you were the first
17 person two years ago when I presented at the symposium
18 who said how are you going to communicate this? And how
19 are you going to get that buy-in? So, thank you. Yes,

1 you are.

2 DR. BERRY: That was actually the most -
3 --- it was the most exciting part of our discussion was
4 how could we help people realize how this will improve
5 and inform newborn screening in positive ways, and
6 that's I think one of our critical sales items, is that
7 we have to talk about how this makes newborn screening
8 better for everyone.

9 DR. CALONGE: Thanks, now Scott?

10 DR. SHONE: Thanks. Scott Shone, ASTO. So,
11 I agree completely with the conversation that just
12 happened with Natasha and Sue and Susan, you know, but I
13 don't think that the communication and the integration
14 with all of the interested parties starts with the
15 rollout. I think it needs to begin now as we continue
16 to work through the questions.

17 Because I am sure there are people who are
18 looking at it right now, because there's still a lot of
19 work to do. I mean as part of the Committee, there's

1 more that has to be done to design the overarching rules
2 for all of this, and I think it would be better to start
3 including those who would have to digest all of this
4 now, as opposed to as the -- we work all this out, and
5 it will help us communicate it because I'm sure there
6 are people who don't see this as just something other
7 than a lab changing what we call stuff, and that's so
8 far from what it is.

9 And so I would encourage us to begin to work
10 with all the groups, those who were representatives, Org
11 Reps, but others as well who are able to communicate
12 this. There are a lot of national report cards that
13 look at counting, and calling things, and helping people
14 understand that as part of the process, so that all of
15 that dissemination, discussion, understanding is built
16 into the actual determination of what the final, sort of
17 guidance is, and what the tables look like are going to
18 be critical.

19 So, we choose words correctly, we choose

1 audiences correctly, and make sure everybody is at the
2 table. That's actually where I think the heavier lift
3 is, and I think that's why the Advisory Committee is so
4 critical as part of this, is it's not just an APHL
5 activity, but rather the Advisory Committee, and the
6 diverse backgrounds of the members, as well as the Org
7 Reps are going to need to make this massive change
8 because what we're changing has been fundamental to the
9 Advisory Committee's work over the last 20 years.

10 And so, if you're going to change it, then
11 everybody who is part of the Committee action now, ought
12 to be part of the formulation of the change, not just
13 how do we describe what we decided to do to our
14 partners.

15 DR. BERRY: This is the biggest change that's
16 being suggested to the Recommended Uniform Screening
17 Panel since we started having one, so is this going to
18 be a process not an event? Oh yes. It is an
19 opportunity to make things a lot better. I honestly

1 think so, but all of these comments about how do we make
2 sure the people understand it and realize the promise
3 that this brings is going to be critical to its utility.

4 So, I think all of us, and I'm sort of
5 speaking words for everyone, but the people who spent
6 all this time on this didn't spend this much time on
7 these task force without the idea that we were trying to
8 make things better, and that we have to make sure that's
9 clear from this point on is what I think Scott is
10 saying, if this is something that the Committee wants to
11 entertain further, then we need to start right away.

12 DR. CALONGE: Great, thank you very much.
13 Very great conversation, great presentation, and we do
14 realize how much work went into what you presented in a
15 short period of time. Thanks.

16 DR. BERRY: Thank you.

17 DR. CALONGE: Okay. So, my assumption is
18 that we will debrief the information that occurred,
19 we'll talk amongst staff, the Chair and the other

1 Committee Members, and we'll get back with you, thanks.

2 I really appreciate it. Sorry, I'm missing something.

3 Oh --

4 DR. DORLEY: No. We were wondering what the
5 next steps were, and you just explained that, so thank
6 you.

7 **New Business**

8 DR. CALONGE: Well, at this point I'd like to
9 ask if there are any members with new business or
10 announcements, and Melissa Parisi, I believe you would
11 like to share a slide regarding a NIH research study.

12 DR. PARISI: Yes. Thank you. Can someone
13 show, I think I just have two slides. This is just an
14 announcement about the Rare Disease Clinical Research
15 Network, which I think I mentioned at least verbally on
16 our last meeting in May, but I had just wanted to remind
17 folks here that this is an NIH initiative, that is
18 really trying to involve as much research as possible on
19 as many rare diseases as possible.

1 There are 11 out of the 27 institutes at the
2 National Institutes of Health that are involved in this
3 network, and really, they're trying to advance the
4 diagnosis, management and treatment of rare diseases.
5 And they focus on natural history studies as well as
6 clinical trial readiness.

7 So, you know, some of the issues that arise
8 for newborn screening conditions are a part of this
9 network of consortia. The consortia -- currently there
10 are 20 of them that are funded, and they have to at
11 least have three rare diseases that are related in some
12 way as part of their mission and their research focus.

13 And very importantly, they have to have
14 partnerships with patient advocacy organizations. And
15 so, this is a critical component of these consortia that
16 is absolutely essential. They are supported by a data
17 management coordinating center, and we are working very
18 hard at NIH to ensure that all data generated are made
19 publicly available, in a deidentified manner so that

1 they will be available to the larger community.

2 And currently the RDCRN is completing its
3 fourth cycle. It has 20 consortiums studying 180
4 diseases across 273 clinical sites, both U.S. and
5 international, and there are 127 affiliated patient
6 advocacy groups. And the reason why this is relevant
7 today is that the due date for the next cycle of
8 competitions, those applications are due August 19th.

9 The program announcement is listed here.
10 Obviously, if you haven't started your application,
11 you're not going to be able to complete it in the next
12 week and a half, but we are very excited by some of the
13 queries that we've been receiving at NIH, and if you go
14 to the second slide, especially around some of the
15 topics related to newborn screening. Next slide.

16 And so, we are one of the 11 institutes and
17 centers. NICHD is one of the 11 institutes and centers
18 involved in the RDCRN, and our interests include newborn
19 conditions, including those currently, or with the

1 potential to be added to the RUSP, and we are
2 particularly interested in supporting natural history
3 and longitudinal follow-up studies, and potentially even
4 development of treatments for newborn-screenable
5 conditions.

6 And then I've listed here other interests as
7 part of NICHD, so we see this as kind of a follow-on to
8 the Newborn Screening Translational Research Network
9 which ended in the spring, and really is one of our
10 efforts to promote research in rare diseases with an
11 emphasis on newborn screening.

12 So, we'll keep you posted as, you know, the
13 awards are made, it probably won't be until next summer,
14 but just to let you all know that this is one of the
15 activities that we're engaged in, trying to support
16 research in newborn screening. Thank you.

17 DR. CALONGE: Thank, Melissa. Any other new
18 business? Seeing none, I just want to remind everyone
19 that next month, September is Sickle Cell and Newborn

1 Screening Month, so time to educate and raise awareness,
2 and we appreciate the efforts of those in the room that
3 support this.

4 I will remind you the next Advisory Committee
5 Meeting will take place November 14th and 15th of this
6 year. We plan to have the November meeting with virtual
7 participation only. If there are any situational
8 changes where we would have to shift our plans, we will
9 make announcements on our website.

10 You could also find a full list of the
11 meeting dates through 2025 on the website. And with
12 that announcement, I would call the August Meeting of
13 the Advisory Committee on Heritable Disorders in
14 Newborns and Children adjourned. Thank you for all your
15 participation, and thanks to all our staff, and IT
16 folks, and other folks who support us logistically for a
17 really great meeting.

18 I really appreciate it. Thanks.

19 (Whereupon the August Meeting of the Advisory

1 Committee on Heritable Disorders in Newborns and
2 Children adjourned at 12:55 p.m.)