

1 The Advisory Committee on
2 Heritable Disorders in Newborns and Children
3

4
5
6
7 Virtual Meeting
8

9
10
11 10:00 a.m.
12 Thursday, August 12, 2021
13

14 Attended Via Webinar
15
16
17
18

19 Job #42099

20 Page 1 - 235

21 Reported by Garrett Lorman
22

1 **Committee Members**

2

3 **Mei Baker, MD**

4 Professor of Pediatrics

5 University of Wisconsin School of Medicine and

6 Public Health

7 Co-Director, Newborn Screening Laboratory

8 Wisconsin State Laboratory of Hygiene

9

10 **Jeffrey P. Brosco, MD, PhD**

11 Professor of Clinical Pediatrics, University of

12 Miami

13 Title V CYSHCN Director, Florida Department of

14 Health

15 Associate Director, Mailman Center for Child

16 Development

17 Director, Population Health Ethics, UM Institute

18 For Bioethics and Health Policy

19

20 **Jane M. DeLuca, PhD, RN**

21 Associate Professor

22 Clemson University School of Nursing

23

24 **Kyle Brothers, MD, PhD**

25 Endowed Chair of Pediatric Clinical and

26 Translational Research

1 Associate Professor of Pediatrics University
2 of Louisville School of Medicine

3

4 **Shawn E. McCandless, MD**

5 Professor, Department of Pediatrics

6 Head, Section of Genetics and

7 Metabolism

8 University of Colorado Anschutz

9 Medical Campus

10 Children's Hospital Colorado

11

12 **Cynthia M. Powell, MD, FACMG, FAAP (Chairperson)**

13 Professor of Pediatrics and Genetics

14 Director, Medical Genetics Residency

15 Program Pediatric Genetics and

16 Metabolism

17 The University of North Carolina at

18 Chapel Hill

19

20 **Annamarie Saarinen**

21 Co-founder

22 CEO Newborn Foundation

23

24 **Scott M. Shone, PhD, HCLD(ABB)**

25 Director

26 North Carolina State Laboratory of

1 Public Health

2

3 **Ex-Officio Members**

4

5 **Agency for Healthcare Research & Quality**

6 Kamila B. Mistry, PhD, MPH

7 Senior Advisor

8 Child Health and Quality Improvement

9

10 **Centers for Disease Control & Prevention**

11 Carla Cuthbert, PhD,

12 Chief, Newborn Screening and Molecular Biology

13 Branch, Division of Laboratory Sciences

14 National Center for Environmental Health

15

16 **Food and Drug Administration**

17 Kellie B. Kelm, PhD

18 Director

19 Division of Chemistry and Toxicology Devices

20 Office of In Vitro Diagnostics and Radiological

21 Health

22

23 **Health Resources & Services**

24 **Administration**

25 Michael Warren, MD, MPH, FAAP

26 Associate Administrator

1 Maternal and Child Health Bureau

2

3 **National Institute of Health**

4 Melissa Parisi, MD, PhD

5 Eunice Kennedy Shriver National Institute of Child
6 Health and Human Development

7

8 **Designated Federal Official**

9 Mia Morrison, MPH, Genetic Services Branch

10 Maternal and Child Health Bureau

11 Health Resources and Services Administration

12

13 **Organizational Representatives**

14

15 **American College of Medical Genetics & Genomics**

16 Maximilian Muenke, MD, FACMG

17 Chief Executive Officer

18

19 **Association of Maternal & Child Health Programs**

20 Jed Miller, MD

21 Director, Office for Genetics and People with Special
22 Care Needs

23 Maryland Department of Health Maternal and Child

24 Health Bureau

25

26 **Association of Public Health Laboratories**

27 Susan M. Tanksley, PhD

1 Manager, Laboratory Operations Unit
2 Texas Department of State Health Services

3

4 **Association of State & Territorial Health Officials**

5 Christopher Kus, MD, MPH

6 Associate Medical Director

7 Organizational Representatives

8 Division of Family Health

9 New York State Department of Health

10

11 **Association of Women's Health Obstetric and**

12 **Neonatal Nurses**

13 Shakira Henderson, PhD, DNP, MS, MPH, RNCNIC,

14 IBCLC

15 Vice President, Research Officer University of North
16 Carolina Health Board Director, Association of Women's
17 Health, Obstetric & Neonatal Nurses

18

19 **Child Neurology Society**

20 Jennifer M. Kwon, MD, MPH, FAAN

21 Director, Pediatric Neuromuscular Program

22 American Family Children's Hospital

23 Professor of Child Neurology, University of Wisconsin

24 School of Medicine & Public Health

25

26

1 **Department of Defense**

2 Jacob Hogue, MD

3 Lieutenant Colonel, Medical Corps, US Army

4 Chief, Genetics, Madigan Army Medical Center

5

6 **Genetic Alliance**

7 Natasha F. Bonhomme

8 Vice President of Strategic Development

9

10 **March of Dimes**

11 Siobhan Dolan, MD, MPH

12 Professor and Vice Chair for Research

13 Department of Obstetrics & Gynecology and

14 Women's Health

15 Albert Einstein College of Medicine and Montefiore

16 Medical Center

17

18 **National Society of Genetic Counselors**

19 Cate Walsh Vockley, MS, CGC

20 Senior Genetic Counselor Division of Medical Genetics

21 UPMC Children's Hospital of Pittsburgh

22

23 **Society for Inherited Metabolic Disorders**

24 Georgianne Arnold, MD

25 Clinical Research Director, Division of Medical

26 Genetics

1 UPMC Children's Hospital of Pittsburgh

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21

C O N T E N T S

Committee Members.....2
Ex-Officio Members4
Organizational Representatives.....5
WELCOME, ROLL CALL, OPENING REMARKS, COMMITTEE
BUSINESS..... 10
MUCOPOLYSACCHARIDOSIS TYPE II (MPS II) EVIDENCE REVIEW
- PHASE 1 UPDATE..... 21
OVERVIEW OF THE ADVISORY COMMITTEE'S REVIEW PROCESS
AND PROPOSED UPDATES..... 42
PUBLIC COMMENT..... 70
BREAK96
GUANIDINOACETATE METHYLTRANSFERASE (GAMT)DEFICIENCY
NOMINATION SUMMARY 100
COMMITTEE DISCUSSION AND VOTE ON MOVING GAMT
DEFICIENCY FORWARD TO FULL EVIDENCE REVIEW..... 113
EMERGING ISSUES IN NEWBORN SCREENING..... 123

1 P R O C E E D I N G S

2 **WELCOME, ROLL CALL, OPENING REMARKS, COMMITTEE BUSINESS**

3 CYNTHIA POWELL: Good morning, everyone. I'd
4 like to welcome everyone and call to order the third
5 meeting in 2021 of the Advisory Committee on Heritable
6 Disorders in Newborns and Children. I'm Dr. Cynthia
7 Powell, Committee Chair.

8 I'd like to begin by first taking roll of our
9 Committee Members representing the Agency for Health
10 Care, Research, and Quality, Kamila Mistry.

11 KAMILA MISTRY: Here.

12 CYNTHIA POWELL: Mei Baker.

13 MEI BAKER: Here.

14 CYNTHIA POWELL: Jeff Brosco. I believe Jeff
15 is going to try to join later on today.

16 CYNTHIA POWELL: Kyle Brothers.

17 KYLE BROTHERS: Here.

18 CYNTHIA POWELL: Jane DeLuca.

19 JANE DELUCA: Here.

20 CYNTHIA POWELL: Representing the Centers for
21 Disease Control and Prevention, Carla Cuthbert.

22 CARLA CUTHBERT: I'm here.

23 CYNTHIA POWELL: Representing the Food and Drug
24 Administration, Kellie Kelm.

25 KELLIE KELM: Here.

26 CYNTHIA POWELL: Representing Health Resources
27 and Services Administration, Michael Warren. Joan, are
28 you our -- Joan Scott, are you representing --

29 JOAN SCOTT: Yes, I'm here, although I am
30 expecting Dr. Warren any -- any minute.

1 CYNTHIA POWELL: Okay, thanks. Shawn
2 McCandless.

3 SHAWN MCCANDLESS: Here.

4 CYNTHIA POWELL: Representing the National
5 Institutes of Health, Melissa Parisi.

6 MELISSA PARISI: Here.

7 CYNTHIA POWELL: I'm here. Annamarie Saarinen.
8 Scott Shone.

9 SCOTT SHONE: Here.

10 CYNTHIA POWELL: Next, our organizational
11 representatives. From the American Academy of Family
12 Physicians, Robert Ostrander.

13 ROBERT OSTRANDER: Here.

14 CYNTHIA POWELL: And the American Academy of
15 Pediatrics, Debra Freedenberg.

16 DEBRA FREEDENBERG: Here.

17 CYNTHIA POWELL: From the American College of
18 Medical Genetics, Maximilian Muenke.

19 MAXIMILIAN MUENKE: Here.

20 CYNTHIA POWELL: From the American College of
21 Obstetricians and Gynecologists, Steven Ralston. From
22 the Association of Maternal and Child Health Programs,
23 Jed Miller.

24 JED MILLER: Here.

25 CYNTHIA POWELL: From the Association of Public
26 Health Laboratories, Susan Tanksley.

27 SUSAN TANKSLEY: Here.

28 CYNTHIA POWELL: From the Association of State
29 and Territorial Health Officials, Chris Kus.

1 CHRISTOPHER KUS: Here.

2 CYNTHIA POWELL: From the Association of
3 Women's Health, Obstetric, and Neonatal Nurses, Shakira
4 Henderson. From the Child Neurology Society, Jennifer
5 Kwon.

6 JENNIFER KWON: Here.

7 CYNTHIA POWELL: From the Department of
8 Defense, Jacob Hogue.

9 JACOB HOGUE: Here.

10 CYNTHIA POWELL: From the Genetic Alliance,
11 Natasha Bonhomme.

12 NATASHA BONHOMME: Here.

13 CYNTHIA POWELL: From the March of Dimes,
14 Siobhan Dolan.

15 SIOBHAN DOLAN: Here.

16 CYNTHIA POWELL: From the National Society of
17 Genetic Counselors, Cate Walsh Vockley.

18 CATE WALSH VOCKLEY: Here.

19 CYNTHIA POWELL: And from the Society of
20 Inherited Metabolic Disorders, Gerard Berry.

21 GERARD BERRY: Here.

22 CYNTHIA POWELL: Thank you. I'll next turn
23 things over to our Designated Federal Official, Mia
24 Morrison.

25 MIA MORRISON: Thanks, Dr. Powell. Next slide,
26 please. So, I'll now go over a few standard reminders
27 for the Committee. As a Committee, we are advisory to
28 the Secretary of Health and Human Services, not the
29 Congress. For anyone associated with the Committee or
30 due to your membership on the Committee, if you
31 received inquiries about ACHDNC, please let Dr. Powell

1 or I know prior to committing to an interview. I also
2 must remind the Committee Members that you must recuse
3 yourself from participation in all particular matters
4 likely to affect the financial interests of any
5 organization with which you serve as an officer,
6 director, trustee, or general partner unless you're
7 also an employee of the organization or unless you have
8 received a waiver from HHS authorizing you to
9 participate.

10 When a vote is scheduled or an activity is
11 proposed and you have a question about a potential
12 conflict of interest, please notify me immediately.
13 Next slide.

14 According to FACA, all Committee meetings are
15 open to the public. If the public wish to participate
16 in the discussion, the procedures for doing so are
17 published in the Federal Register and/or announced at
18 the opening of the meeting. For the August meeting in
19 the Federal Register notice, we said that there would
20 be a public comment period. Only with advanced
21 approval of the chair or DFO, public participants may
22 question Committee Members or other presenters. Public
23 participants may also submit written statements.
24 Public participants should be advised that Committee
25 Members are given copies of all written statements that
26 they submit. As a reminder, as stated in the FRN as
27 well as the registry website that all written public
28 comments are part of the official meeting record and
29 are shared with Committee Members. Any further public
30 participation will be solely at the discretion of the
31 chair and DFO.

32 And if there are no questions, I'll turn it
33 back over to Dr. Powell.

34 CYNTHIA POWELL: Thank you, Mia.

1 For our first item of Committee business, I'd
2 like to announce that Dr. Gerard Berry will replace Dr.
3 Georgianne Arnold as the organizational representative
4 for the Society of Inherited Metabolic Disorders. Dr.
5 Berry is a biochemical geneticist and pediatric
6 endocrinologist. He is the Harvey Levy Chair in
7 Metabolism and Director of the Metabolism Program at
8 Boston Children's Hospital, Professor of Pediatrics at
9 the Harvard Medical School, and Director of the Harvard
10 Medical School Biochemical Genetics Training Program.
11 Dr. Berry is the President of the Society for Inherited
12 Metabolic Disorders. He is the co-chair for the
13 Metabolomics Working Group of the NIH Undiagnosed
14 Diseases Network. His review panel and other NIH
15 service work included serving as a member of Gene
16 Therapy and Inborn Errors Special Emphasis Panel and
17 the chairman of the Rare Diseases Clinical Research
18 Network Data.

19 Dr. Berry's primary clinical and basic science
20 research efforts are focused on galactosemia and
21 secondarily on myoinositol metabolism in the brain,
22 particularly during fetal development.

23 Dr. Berry, we are very excited to welcome you,
24 and Dr. Arnold, we thank you for your contributions to
25 the Committee.

26 GERARD BUTLER: Glad to be here. Thank you so
27 much, Cynthia.

28 CYNTHIA POWELL: Thank you. All right. Next
29 slide, please.

30 First, I'd like to inform the Committee that in
31 July, HRSA received a nomination package for Krabbe
32 Disease or Globoid Cell Leukodystrophy. Krabbe Disease
33 is both a leukodystrophy and a lysosomal storage
34 disorder and was first nominated to the Advisory
35 Committee in 2007. It went through evidence-based

1 review; however, in 2009, the Committee voted to not
2 recommend addition to the Recommended Uniform Screening
3 Panel.

4 HRSA is in the process of conducting the
5 initial review for completeness and will keep the
6 Committee informed of next steps.

7 We are working towards finalizing the effort to
8 review the evidence review process. This has been an
9 ongoing endeavor that began in February of 2019 when
10 the Committee convened an expert advisory panel to
11 explore ways to strengthen the nomination and evidence
12 review process. Since then, the Committee has provided
13 their feedback and expertise to identify the feasible
14 next steps. Today, Dr. Alex Kemper and I will present
15 an overview of the process and the proposed updates.
16 The Committee will vote on whether or not to approve
17 the proposed updates at the November 2021 meeting.

18 As a reminder for groups that may be in the
19 process of developing condition nomination packages,
20 the new processes will not go into effect until
21 calendar year 2022. If your organization is working on
22 a condition nomination package and you are planning to
23 submit in early 2022, please contact the Committee's
24 Designated Federal Official, Mia Morrison, who can
25 provide you with additional guidance. Mia and I are
26 available to provide technical assistance to
27 nominators. Next slide.

28 Next, I would like to announce that there is an
29 opportunity for public comment on the proposed data
30 collection activities for the Public Health System
31 Assessment Surveys. The purpose of the Public Health
32 System Assessment Survey is to inform the Advisory
33 Committee on states' ability to add newborn screening
34 for nominated conditions including the feasibility,
35 readiness, and overall capacity to screen for new
36 conditions. The complete announcement was published on

1 July 22, 2021 in the Federal Register. If you would
2 like to look it up, the document citation is 86FR38726.
3 The only proposed changes to the survey instruments are
4 grammatical. You may submit your comments on the
5 survey to paperwork@hrsa.gov. There is also a mailing
6 address listed in the Federal Register. Comments must
7 be received no later than September 20, 2021. Please
8 continue to monitor the Federal Register for additional
9 information and updates. Next slide, please.

10 Thank you to the Committee and organizational
11 representatives for reviewing the May 2021 Meeting
12 Summary. We received one edit that the Committee has
13 not had a chance to review. On page 4, organizational
14 representative Natasha Bonhomme's title has been
15 corrected to Founder of Expecting Health.

16 Does anyone have any additional corrections at
17 this time before the Committee votes? Hearing none, do
18 I have a motion to approve the minutes for the May 2021
19 ACHDNC meeting?

20 ANNAMARIE SAARINEN: So moved, Annamarie
21 Saarinen.

22 CYNTHIA POWELL: Is there a second?

23 KYLE BROTHERS: Kyle Brothers, second.

24 CYNTHIA POWELL: Thank you. We'll now take a
25 vote about approving the May 2021 Minutes. Mei Baker.

26 MEI BAKER: Approved.

27 CYNTHIA POWELL: Jeff Brosco. He's not here.
28 Kyle Brothers.

29 KYLE BROTHERS: Approve.

30 CYNTHIA POWELL: Carla Cuthbert.

31 CARLA CUTHBERT: Approve.

32 CYNTHIA POWELL: Jane DeLuca.

1 JANE DELUCA: Approve.
2 CYNTHIA POWELL: Kellie Kelm.
3 KELLIE KELM: Approve.
4 CYNTHIA POWELL: Shawn McCandless.
5 SHAWN MCCANDLESS: Approve.
6 CYNTHIA POWELL: Kamala Mistry.
7 KAMALA MISTRY: Approve.
8 CYNTHIA POWELL: Melissa Parisi.
9 MELISSA PARISI: Approve.
10 CYNTHIA POWELL: I approve. Annamarie
11 Saarinen.
12 ANNAMARIE SAARINEN: Approve.
13 CYNTHIA POWELL: Scott Shone.
14 SCOTT SHONE: Approve.
15 CYNTHIA POWELL: Michael Warren.
16 MICHAEL WARREN: Approve.
17 CYNTHIA POWELL: Thank you. So, the motion is
18 passed for approval of the minutes. May I have the
19 next slide, please.

20 Today, we have a very packed and I think
21 exciting agenda. The Committee will meet today until
22 2:30 p.m. Eastern time. First up, the Committee will
23 have Dr. Alex Kemper provide the Phase 1 update of the
24 evidence-based review for Mucopolysaccharidosis Type II
25 or MPS II. Next, Dr. Kemper and I will provide an
26 overview of the Committee's review of the Evidence
27 Review Process and present on proposed updates.
28 Afterwards, we'll have a public comment period. Nine
29 individuals have registered to provide an oral public
30 comment to the Committee today. Our first group will

1 give statements on a nomination of guanidino acetate
2 methyltransferase deficiency or GAMT Deficiency to the
3 Recommended Uniform Screening Panel. They are Kim
4 Tuminello and Heidi Wallis from the Association of
5 Creatinine Deficiencies, Dr. Nicola Longo and Dr.
6 Marzia Pasquali and Becky and Stu Tribe.

7 Afterwards, we will hear from Dr. Joanne
8 Kurtzberg who will discuss Krabbe Disease, Elisa
9 Seeger, who will provide comments on the Committee's
10 review of its processes, Dean Suhr from the MLD
11 Foundation, who will also provide comments on the
12 Committee's review of its processes. Our last public
13 commenter will be Liesle Broadridge from the EveryLife
14 Foundation for Rare Disease, who will discuss the Third
15 Annual Newborn Screening Boot Camp.

16 Following the public comment period, the
17 Committee will take a 30-minute break and reconvene at
18 1:15.

19 After the break, the Nomination and
20 Prioritization Work Group will provide a summary of the
21 nomination package for GAMT deficiency. Immediately
22 after the Nomination and Priority Work Group
23 Presentation, the Committee will have an opportunity to
24 discuss the nomination package and hold a vote on
25 whether or not to move GAMT deficiency forward to full
26 evidence review.

27 The last session of today will be a Committee
28 discussion on emerging issues in newborn screening led
29 by Committee Member, Dr. Shawn McCandless. Next slide.

30 The Committee will reconvene tomorrow, Friday,
31 August 13th, from 10:00 a.m. to 1:50 p.m. Eastern time.
32 We will begin tomorrow with a panel on National
33 Registries for Hemophilia and Childhood Cancer and
34 thinking about how this may help in terms of follow-up

1 of patients with conditions detected through newborn
2 screening as we've done in the past.

3 Our final session of the August meeting will be
4 a panel continuing the Committee's exploration of the
5 Newborn Screening Workforce with a focus on laboratory
6 and follow-up, audiology, pediatric endocrinology, and
7 genetic metabolic dieticians.

8 I'll now turn it back over to Mia.

9 MIA MORRISON: Thanks, Dr. Powell. Next slide,
10 please.

11 So, member of the public, audio will come
12 through your speakers. So, please make sure that you
13 have your computer speakers turned on. If you can't
14 access the audio through your computer, you may dial
15 into the meeting using the telephone number in the
16 email with your Zoom link. This meeting will not have
17 a chat feature, but we do have a public comment period
18 scheduled later today.

19 Committee Members and organizational
20 representatives, audio will also come from your
21 computer speakers, and you will be able to speak using
22 your computer microphone. If you can't access the
23 audio microphone through your computer, you may dial
24 into the meeting using the telephone number in the
25 email with your user-specific link sent to you from
26 Vincent Levin. Please speak clearly and remember to
27 state your name first to insure proper recording for
28 the Committee transcript and minutes. The chair will
29 call on Committee Members first and then organizational
30 representatives.

31 In order to better facilitate the discussion,
32 Committee Members and org reps should use the raise
33 hand feature when you would like to make a comment or
34 ask questions. Simply click on the participant icon
35 and choose raise hand. Please note that depending on

1 your device or operating system, the raise hand
2 function may be in a different location. To
3 troubleshoot, please consult the webinar instruction
4 page in your briefing book. Next slide, please.

5 To enable closed captioning, please select the
6 closed captioning icon from your Zoom taskbar. From
7 that menu, you may select show subtitles.

8 I will now turn it back over to
9 Dr. Powell.

10 CYNTHIA POWELL: Thank you, Mia. May I have
11 the next slide, please. Next slide.

12 As you might recall, at the May 2021 Committee
13 meeting, the Committee voted to move
14 Mucopolysaccharidosis Type II or MPS II to full
15 evidence-based review. Starting in May, the Committee
16 has nine months to complete the evidence-based review
17 and vote on whether or not to recommend MPS II for
18 addition to the Recommended Uniform Screening Panel.

19 Today, Dr. Alex Kemper, lead of the evidenced-
20 based review group, will provide the Phase 1 Update for
21 the MPS II Evidence Review. Dr. Kemper is the Division
22 Chief of Primary Care Pediatrics at Nationwide
23 Children's Hospital and Professor of Pediatrics at the
24 Ohio State University College of Medicine. He
25 completed his pediatric residency training at Duke
26 University followed by combined fellowship training in
27 health sciences research and medical informatics with
28 residency training in preventive medicine at the
29 University of North Carolina. Dr. Kemper's research
30 focuses on the delivery of preventive care services
31 including newborn screening. Since 2013, Dr. Kemper
32 has also served as the deputy editor of Pediatrics.
33 I'll now turn it over to Dr. Kemper.

1 **MUCOPOLYSACCHARIDOSIS TYPE II (MPS II) EVIDENCE REVIEW**
2 **- PHASE 1 UPDATE**

3 ALEX KEMPER: Dr. Powell, thank you very much
4 for that kind introduction and I'm really excited on
5 behalf of our Evidence Review Group to present our
6 first interim presentation around newborn screening for
7 Mucopolysaccharidosis Type II or MPS II.

8 The purpose of this presentation is to raise
9 some high-level points that we've learned so far around
10 MPS II, to talk about our ongoing process for the
11 review, and also give the Advisory Committee another
12 opportunity to bring up issues or points that they
13 would like us to further explore as we go about our
14 work on MPS II. Next slide, please.

15 So, this just lists our team members. I'm very
16 fortunate to be able to work with a wide range of
17 really smart and invested individuals and I'll just
18 leave that for a second to give them due credit. Next
19 slide, please.

20 We have also convened a technical expert panel.
21 As members of the Advisory Committee may recall, we
22 used the technical expert panel to help guide us
23 throughout the review process to make sure that we
24 understand issues related to the conditions, to explore
25 whether or not there are important unpublished data
26 that could be used to inform the decision and our
27 review process and really to make sure that we
28 understand the condition in the appropriate context.
29 The technical expert panel includes researchers,
30 clinicians, and individuals who have firsthand
31 experience with conditions. So, we're very fortunate
32 again in this case that we have a very strong technical
33 expert panel. Next slide, please.

34 So, just reminding the Advisory Committee, this
35 slide presents the -- the timeline under which we're
36 operating. So, back at the May meeting was when the

1 condition was nominated. We had this interim
2 presentation, another interim presentation in November,
3 and then our final presentation will be coming up in
4 February. Hopefully the pandemic will resolve and
5 maybe we can even meet in-person. Next slide.

6 So, now I just wanted to get a little into MPS
7 II. So, in terms of our case definition, MPS II is an
8 X-linked lysosomal inborn error metabolism caused by
9 the deficiency of the specific enzyme leading to
10 accumulation of specific glycosaminoglycans or GAGS, as
11 you'll see on subsequent slides. There are many, many
12 mutations associated with the IDS gene. A lot of these
13 mutations are private mutations, which, as you'll see
14 in a little bit, can be challenging in terms of
15 predicting the phenotype.

16 Across reports we've looked at, there's a
17 fairly wide range of prevalence based on clinically
18 detected cases ranging from 0.2 per 100,000 live births
19 up to 2.5 per 100,000 live births. If you look at the
20 states that are screening for MPS II, Illinois had
21 found about 0.88 cases per 100,000 live births and
22 Missouri 1.37 per 100,000 live births through newborn
23 screening. Again, I hope these numbers just give a
24 sense of the prevalence as I talk about the condition
25 itself. Next slide, please.

26 So, the classification of MPS II is a little
27 complicated, and it bears spending some time thinking
28 through it because as we talk about what we've learned
29 related to presentation, understanding how cases are
30 described, I think, is really important.

31 So, there is one classification of severe
32 versus attenuated disease and that's based on the
33 degree of involvement. But the attenuated term is to
34 me a little bit of a misnomer because attenuated
35 implies that it's -- that the cases aren't just
36 severely affected and that's not true. So, as a key

1 point, attenuated is not the same as benign and I'm
2 going to dig into what these various presentations are
3 in a little bit.

4 There's another classification where cases are
5 described as neuronopathic versus non-neuronopathic;
6 neuronopathic referring to CNS involvement. But
7 individuals who have non-neuronopathic disease can
8 actually have CNS involvement related to the degree of
9 involvement, for example, the spinal cord and those
10 kinds of things. And so, it's a little bit of a
11 misnomer to think that there's no CNS involvement in
12 those with non-neuronopathic disease. And so, it's
13 important to think that individuals who have MPS II can
14 have variable phenotypic expression across disease
15 dimensions.

16 Again, to really drive home the point, I just
17 want everyone to remember the attenuated is not the
18 same as benign and non-neuronopathic is not the same as
19 no neurologic involvement. Next slide, please.

20 So, in terms of separating out severe versus
21 attenuated disease, severe disease typically has
22 progressive multi-organ and joint involvement as these
23 GAGs accumulate. Not only can there be cognitive
24 impairment, but also regression. The diagnosis is
25 typically in early childhood with death occurring
26 during the late teen years or in the 20s. One thing
27 that is important as we talk about screening is that,
28 at least based on clinical detection, most cases are
29 thought to be severe -- two-thirds of the cases.
30 Attenuated disease typically has a later diagnosis, but
31 as with severe disease, can have progressive multi-
32 organ involvement. These individuals can live into
33 adulthood, but from talking to members of our technical
34 expert panel, the later death is because there's not
35 this same degree of CNS comorbidity. Again, I want to
36 drive home the point that the attenuated disease is not

1 the same as benign and there is this wide spectrum of
2 disease.

3 As with many of the other conditions that we've
4 considered, there is also pseudodeficiency.
5 Pseudodeficiency is not associated with morbidity or
6 mortality. There's ways to rule out pseudodeficiency
7 and I'm going to talk about this in a little bit,
8 essentially looking at whether or not there's a high
9 level of GAGs in the blood and can therefore be ruled
10 out to avoid concerns of unnecessary treatment.

11 Another point that's important to remember is
12 that the phenotype is not typically predictable at the
13 time of diagnosis because there's so many private
14 mutations. What we've heard from the experts and what
15 seems to be going out by the articles that we've looked
16 at thus far is that affected siblings generally have
17 similar phenotype. The severe form can be predicted if
18 there's a complete deletion or major complex
19 rearrangement. What I can't tell you yet is the
20 proportion of cases that are due to these complete
21 deletions or complex rearrangements and hopefully at
22 our next presentation, we'll have more information
23 about that.

24 But again, the phenotypic prediction isn't
25 typically possible for the private mutations -- the new
26 ones that have developed. Next slide, please.

27 So, in terms of screening, there's really two
28 approaches that can be used, and these are all based on
29 measuring enzyme activity. There's tandem mass spec
30 assay which can be multiplexed with other markers for
31 other lysosomal storage disorders, for example. And I
32 put a reference on the slides too for the method that
33 is generally used. But it's using this UPLC with
34 tandem mass spectrometry. I'm going to dig a little
35 bit more into the methods on a subsequent slide.

1 The other method is a microplate fluorometric
2 assay, which is not multiplexed. From speaking to the
3 two states that are using each -- again, I'm going to
4 dig into this in a second using a different method --
5 the individuals in the newborn screening laboratory
6 have noted that there does seem to be a clear
7 separation between positive and negative screens at the
8 time of screening, which, you know, obviously has a lot
9 of benefit.

10 After a positive screen in terms of working up
11 to confirm the diagnosis, the first step is to confirm
12 enzyme activity to measure GAGs. Pseudodeficiency
13 would not be associated with elevated GAGs. And then
14 sequencing of the gene can be helpful, but again, these
15 are all private mutations and are not necessarily going
16 to tell you what the phenotype is.

17 There is another very rare condition, Multiple
18 Sulfatase Deficiency, that can be ruled out by
19 measuring another sulfatase during the diagnostic
20 evaluation. But the key elements are really confirming
21 the enzymatic activity and doing the GAG measurements.
22 And I'm going to explore in subsequent slides how
23 states -- the two states that are screening handle this
24 a little bit differently. Next slide, please.

25 So, in terms of treatment, there is enzyme
26 replacement therapy, Idursulfase. This was approved
27 back in 2006 and it's really become the standard of
28 therapy. It's -- it's delivered by IV infusion and the
29 infusion itself can take many hours to give. So, some
30 individuals may get a permanent indwelling catheter for
31 the infusion and others, you know, for whatever reason
32 may opt to have an IV put in each time. But
33 regardless, it is delivered by IV infusion.

34 A key point to remember, we're going to be
35 coming back to this a couple times, is that the enzyme
36 replacement does not cross the blood-brain barrier. As

1 we've seen with other enzyme replacement therapies,
2 there is a risk of developing antibodies to the enzyme
3 replacement therapy. We are still in the evidence
4 review process in determining how big of a problem that
5 is in terms of affecting or impacting the effectiveness
6 of the enzyme replacement therapy. Again, I won't be
7 surprised if there are also the risks of infusion-
8 related side effects -- rash, angioedema, and so forth,
9 and this could be rerated with cream and medication,
10 sometimes slowing down the rate of the infusion -- the
11 standard things that we've seen.

12 There is a study going on right now that's
13 evaluating the role of intrathecal administration for
14 individuals who have CNS involvement and just by way of
15 background, the cost of the enzyme replacement therapy
16 and the administration of it is on the order of
17 hundreds of thousands of dollars per year.

18 The other therapy for MPS II is hematopoietic
19 stem cell transplantation. This is really not a major
20 component of therapy. Certainly after enzyme
21 replacement therapy became available, it was really --
22 enzyme replacement therapy really supplanted the use of
23 stem cell therapy because of the risk of mortality and
24 also -- and this was pointed out from our technical
25 expert panel -- the lack of clear neurodevelopmental
26 benefit of stem cell transplantation, which -- which
27 does seem to be in contrast to what we saw with our
28 previous review of MPS I. Again, we're now looking at
29 the published evidence to get a better sense of this.

30 Again, it's, you know, some families might
31 prefer stem cell transplant because it could
32 potentially avoid the need for those weekly IV
33 infusions. But from what our experts have said,
34 families have generally preferred or nearly always
35 prefer the enzyme replacement therapy.

1 MPS II is a really active area of research
2 including some exciting work that's going on around
3 gene therapy. We are now just in the process of
4 figuring out what these novel approaches are, where
5 those studies stand, you know, given the rarity of MPS
6 II, it may be hard to fill those studies, especially if
7 there are competing trial underway.

8 So, again, by the time the final vote comes
9 out, we are unlikely to have a lot of new information
10 about these novel therapies. But they are in
11 development, which is obviously very exciting. Next
12 slide, please.

13 So, the clinical experts from our technical
14 expert panel said that they recommend beginning the
15 enzyme replacement therapy as soon as possible after
16 diagnosis. Again, there is a strong biological
17 argument that enzyme replacement therapy can stop
18 accumulation of GAGs, but once the GAGs have already
19 developed, the general thinking is that the damage has
20 been done.

21 The enzyme replacement therapy itself was
22 approved about 15 years ago based again on studies of
23 mostly clinically detected subjects where, you know,
24 some subjects could be detected if they had an affected
25 sibling.

26 Now, I do want to highlight something that's on
27 the drug label. I think it's important to understand
28 why it's on the drug label and what the new evidence is
29 that's come out since then. And I really want to
30 highlight that the drug label was done at the time of
31 approval, which, you know, again was about fifteen
32 years ago. So, the label states, "In patients 16
33 months to 5 years old, ELAPRASE (which is the brand
34 name) did not show improvement in disease-related
35 symptoms or long-term clinical result; however,
36 treatment with ELAPRASE has reduced spleen size

1 similarly to patients 5 years and older. It is not
2 know if ELAPRASE is safe and effective in children
3 under 16 months old."

4 So, if you were to just look at that labeling,
5 it would, you know, potentially raise concern about
6 newborn screening and beginning therapy, you know, very
7 soon after identification. But I think it's important
8 for the Advisory Committee to recommend that there have
9 been post-marketing studies since then, additional
10 observational studies, and a lot more clinical
11 experience since that -- since the drug was approved by
12 the FDA. Next slide.

13 So, the technical expert panel pointed out that
14 there's a lack of equipoise at this point for the kind
15 of trials that might be needed to substantially change
16 the label. Again, I mentioned the biological argument
17 about treatment after the GAGs have accumulated not
18 reversing tissue damage, but given the -- the time
19 horizon over which MPS II develops, measuring
20 meaningful outcomes takes longer than the duration of
21 typical clinical trials, you know, over, you know,
22 we're talking about like a condition without treatment
23 can present with the joint tissues or problems walking
24 or the neurodevelopment issues that we talked about
25 before over many years and then there are also post-
26 marketing studies that support the safety of
27 presymptomatic treatment.

28 So, even -- and of course, given the rarity of
29 the disease, beyond the issue of equipoise, enrolling
30 subjects for early treatment or other novel therapies
31 in the absence of screening is-- is going to be a
32 barrier. I recognize that -- that newborn screening is
33 not done to identify subjects for trials, and that's
34 what -- I don't mean to imply that. But I do think
35 that it's important to understand that getting

1 sufficient subjects for things like label change is
2 difficult. Next slide.

3 So, in -- in this vein, I just wanted to
4 highlight one study -- and there are other studies that
5 describe issues of safety in enzyme replacement therapy
6 in younger children -- this is one particularly study
7 and you can see the reference below of twenty subjects
8 between 1.4 and 7.4 years in an open-label study.
9 There is one subject who was unenrolled because the
10 individual wasn't compliant with enzyme replacement
11 therapy. I can't comment on why that happened. A
12 substantial proportion developed IgG antibodies. I
13 don't know how that effected the -- how that impacted
14 the effectiveness of therapy and about half of the
15 subjects had infusion-related adverse events. But all
16 of these subjects were able to continue with therapy
17 and, you know, I think that's a key thing for the
18 Advisory Committee to consider. Next slide.

19 And I do, you know, there are many studies on
20 enzyme replacement therapy begun early versus late in
21 siblings. I think this one particular study because
22 they were friends of the two children and I think
23 that's helpful. So, this was a study of one child as
24 she began enzyme replacement therapy at 3 years of age.
25 He was detected clinically. You can see a picture of
26 him on the left and then his sibling, who began therapy
27 at 4 months of age, and you can see him on the right.
28 And after about 30 months of therapy, you can see the,
29 you know, differences in the facial appearance. There
30 are differences between the siblings in terms of joint
31 stiffness, hepatosplenomegaly. Both of them had
32 intellectual disability but were differentially
33 impacted. You can see the one who is a bit older had a
34 development quotient of 42 versus 74. You know,
35 there's only so much you can draw from an observational
36 study of two siblings since I don't know why the
37 developmental quotient was so different between the two

1 of them. Classically speaking -- not classically
2 speaking, we know this, enzyme replacement does not
3 cross the blood-brain barrier, but, you know, in
4 discussing this with the technical expert panel and
5 amongst our group as well, you can imagine that because
6 the child that was picked up earlier was able, you
7 know, didn't have the joint stiffness and many other
8 side effects -- many of the other adverse issues
9 associated with MPS II, might have gotten better
10 stimulation and maybe that accounts for some of the
11 differences in the developmental quotient.

12 Again, we'll be able to explore this more in
13 the published and unpublished literature. Next slide.

14 So, I just want to highlight now some important
15 sources of data. First of all, there's the Hunter
16 Outcome Survey, which includes now more than 1,000
17 individuals with MPS II. It's used both to describe
18 the natural history as well as has some individuals
19 who, you know, began treatment at various times in
20 their life. There is a parent- or patient-reported
21 functional outcomes survey that's in here as well as
22 other metrics of how the subjects are doing. This was
23 supported by Shire. I can tell you there are many,
24 many publications that have come out of the Hunter
25 Outcome Survey, which has really great insight into the
26 condition.

27 There are two states -- only two right now --
28 that screen for MPS II, Missouri, which began in 2018
29 and Illinois, which began in 2017.

30 ScreenPlus, which is a study of screening for
31 various conditions, includes MPS II. We spoke to Dr.
32 Orsini in New York about ScreenPlus just the other day.
33 They've been a little bit slow to get started because
34 of, you know, issues with the pandemic and just the
35 complexity of the study. So, we don't have anything to

1 report in terms of cases that they've identified. But
2 I will have like the method that they use.

3 Taiwan has been screening for a relatively long
4 period of time, since 2015. And then another important
5 source of data, but I'm not going to drill into more
6 here, are open-label and uncontrolled trials of enzyme
7 replacement therapy. Next slide, please.

8 So, let's talk a little bit about Missouri
9 first. So, they began full population screening back
10 in 2018. They used a benchtop fluorometric test.
11 Again, they have in their first-tier assay, they seem
12 to be able to easily separate positives from negatives.
13 They told us that it takes about two hours to prepare
14 the samples and read the plates, and on top of that,
15 there's three to four hours of time to run the assay.
16 Again, this is not multiplex.

17 They told us on our first call with them that
18 the unit screening cost for MPS II is about \$5
19 including things like staff time, equipment and
20 overhead, and those sorts of things. I -- I, you know,
21 hesitate to put that \$5 number out until we're able to
22 better explore exactly what's in there and what's not
23 and Dr. Scott Grosse, a member of our group who is at
24 the CDC -- everyone knows Scott anyway -- is working
25 with us right now to better sort out what's in that
26 cost. But I think that \$5 number is -- is helpful at
27 least to give you an idea of what they have told us.

28 They do GAG testing as a send-out lab prior to
29 referring for specialty care, and they found that the
30 molecular second-tier testing wasn't particularly
31 helpful. I am working with them to really get the
32 numbers at each step in the algorithm and to really
33 understand exactly, you know, what they found and the
34 number of cases detected. Next slide, please.

1 But for the purposes of this slide, I just
2 wanted to highlight that they are not multiplexed and
3 they have the second-tier test of GAGs that are sent to
4 the Mayo for measurement and then referral is made.
5 So, that's a way to sort out pseudodeficiency prior to
6 referral. Next slide, please.

7 So, looking at just the 2020 numbers, they
8 screened about 86,000 newborns and found 20 with
9 pseudodeficiency -- again, that was prior to referral -
10 - and 12 had been referred, meaning that, you know, if
11 all the referred cases turned out to have MPS II,
12 that's a potential as much as 14 per 100,000 cases. We
13 are working with them to get all the numbers and then
14 go back for 2020. So again, I just give you this as a
15 flavor of what's to come. They have not found any
16 affected females. Next slide.

17 So, Illinois began screening, as I mentioned
18 before, in 2017. They used this different method with
19 UPLC and tandem mass spec. The incubation is done
20 separate for MPS II. So, there's an incubation --
21 again, I always hesitate when I have to talk about
22 laboratory stuff being a non-laboratorian -- but
23 there's a separate incubation stage, which takes a long
24 time, and then the analysis is done by combining the --
25 the, you know, the stuff, you know, into the tandem
26 mass spec machine. That was like the least scientific
27 thing I think I've ever said in front of the Advisory
28 Committee, so I apologize for that.

29 And GAG testing is not done by the -- by the
30 newborn screening program. That happens afterwards.
31 Next slide.

32 So, as I mentioned before, there's this
33 separate punch and extraction time and the incubation
34 period is seventeen hours. The analysis is multiplexed
35 with other lysosomal storage disorders, and they have
36 referral prior to GAG testing. The ScreenPlus is using

1 a similar approach, but I don't know what their
2 incubations are or anything like that, and we're
3 waiting for more information about how ScreenPlus is
4 doing it. But they're using the same general method.
5 Next slide, please.

6 So, by the end of May, they had nearly 560,000
7 specimens, of which 72 were positive, 23 which were due
8 to pseudodeficiency, so 32 percent had pseudodeficiency
9 -- leaving to 8.8 per 100,000 potential cases. Again,
10 more to come and at our next presentation, we'll be
11 able to really go through the numbers at different
12 points in the algorithm. As with Missouri, no affected
13 females have been identified. Next slide.

14 So, what I'd like to do now is just transition
15 a little bit and talk about our process. So, in the
16 review, we found in the initial search more than 4,000
17 articles and we're now going through those more in
18 depth to see which ones are informative and we'll be
19 forwarding to the review. We look at, as we always do,
20 the natural history and epidemiology of MPS II, the
21 analytic or clinical validity of screening. We look at
22 the harms associated with screening for MPS II. We
23 look at the benefits and harms for presymptomatic or
24 early treatment compared to case detection.

25 In terms of better understanding this, we're
26 also looking at why individuals or families might
27 decide to stop ERT and also the degree of interest that
28 the families might have in stem cell transplantation.
29 And again, we're continuing to work with the TEP to
30 make sure that we're asking the right questions and
31 also to identify relevant gray literature, especially
32 given that this is such an active area of research in
33 terms of new therapeutics. Next slide, please.

34 So, I put this slide up to give a sense of the
35 kinds of treatment outcomes that we look at. So, we
36 always look at mortality and respiratory failure. I

1 put up a number of other outcomes that are
2 traditionally looked at related to the condition and we
3 will be outlining, you know, all these major ones and
4 working with the TEP is relevant to identifying others.

5 This condition, when we move to the evaluation
6 of the potential impact of a population we're
7 screening, so we work with Dr. Costa and her colleagues
8 too at the University of Michigan. It's clear that
9 first of all, we're going to have to look at a time
10 horizon if it's much longer so, you know, previously we
11 could look at the impact of screening say two years
12 after the newborn screening might have occurred. But a
13 lot of the really important issues may take a lot
14 longer to develop. And so from a -- we're thinking
15 about that through right now in terms of the modeling
16 and second technical expert panel, which will be held
17 in mid-September is going to really focus on making
18 sure we're thinking about the right outcomes and
19 modeling things appropriately. Next slide, please.

20 So, you know, this is kind of obvious, but, you
21 know, these are the things that we always do. So, you
22 know, we're working on the evidence review, again the
23 body of literature for MPS II seems substantially
24 bigger than for some of the other conditions that we've
25 looked at. We are working with our colleagues at APHL
26 and Dr. Curtis on the Public Health System Impact
27 Assessment and then I mentioned the work that Dr. Costa
28 and her colleagues are doing around the population
29 health impact of screening. In terms of the survey of
30 newborn screening programs to assess their readiness
31 and feasibility, right now we're also planning to have
32 a webinar about that for states in mid-September. And
33 then, you know, of course we're going to complete the
34 cost assessment of, you know, for what it would be for
35 the newborn screening program, focusing on the, you
36 know, what the expected ranges of cost are. And again,
37 it will be interesting given that there's two competing

1 methods for doing the screening to get a sense of
2 whether or not there's a substantive difference between
3 the two. Next slide, please.

4 So, at this point, I would like to open things
5 up for questions, either clarifying anything that I've
6 said or if there is any issue that the Advisory
7 Committee really wants us to focus in on as we go about
8 our work, that would be great.

9 CYNTHIA POWELL: Thank you, Dr. Kemper. We'll
10 now open it up to questions and comments. First, we'll
11 give Committee Members the opportunity to ask their
12 questions or make comments, followed by organizational
13 representatives. Again, please use the raise hand
14 feature in Zoom when you would like to make comments or
15 ask questions and when speaking, please remember to
16 unmute yourself and state your first and last name each
17 time you ask a question or provide comments to make
18 sure that we can do proper recording in the minutes.
19 Mei Baker.

20 MEI BAKER: Thank you, Alex, for the very
21 comprehensive report. This is Mei Baker, Committee
22 Member. I have a question. You mentioned that for the
23 tandem mass assay, incubation time is seventeen hours.
24 How about a microfluid method? What -- because the
25 enzyme assay is only the incubation time?

26 ALEX KEMPER: So, my understanding is it's just
27 on the order of a few hours.

28 MEI BAKER: Thank you. I did see that, but I
29 wanted to verify it.

30 ALEX KEMPER: Yeah, again, we're going to be
31 talking more with the -- the -- you know, the
32 laboratory experts within the newborn screening
33 programs to nail that down, and that's going to be
34 important as we think about costs and other
35 implications for the other newborn screening programs.

1 CYNTHIA POWELL: Any other Committee Members
2 who would like to ask a question? Shawn McCandless.

3 SHAWN MCCANDLESS: Thanks, Alex. That's --
4 it's very impressive work so far, and I'm looking
5 forward to seeing the final results. My question for
6 you is for a progressive neurodegenerative disorder,
7 especially with grey literature but even with the
8 published literature, how do you account for sort of
9 variability in the rate of progression and how does --
10 how do -- what's the method for -- for analyzing the
11 data with that idea in mind?

12 ALEX KEMPER: Yeah, that's, you know, there's
13 so many factors that go into neurodevelopment and as I
14 pointed out too, I think some of the extra, you know,
15 outside of the CNS involvement, you know, could clearly
16 impact neurodevelopment as well and that actually came
17 out very clear in its path. So, you know, if you're
18 having trouble moving and, you know, I mean, that's how
19 infants learn, right?

20 So, I -- I mean, there's no way that we're
21 going to be able to like, you know, boil this down to a
22 single metric. I think the best thing that we're going
23 to be able to do is, you know, catalog what we've seen
24 in terms of the impact.

25 You know, what's -- what does seem to be clear
26 is that stem cell transplant doesn't have, you know,
27 the effect that people were hoping that it would have.
28 There are standard metrics that are used across studies
29 in terms of looking at development. But I think at the
30 end of the day that what we can do is just, you know,
31 like we always do, catalog what we've learned. You
32 know, this is a condition that affects on the order of,
33 you know, what like a few, maybe, you know, through
34 screening maybe 10 per 100,000, you know, and -- and
35 neurodevelopment is so complicated.

1 So, what I hope to do, if we do our job
2 correctly, is to be able to tell you the stories and
3 then you're going to have to use your, you know, expert
4 opinion and experience the way how much of that is due
5 to early intervention versus not. That's probably not
6 a very satisfying answer, but I think that's the best
7 we can do.

8 SHAWN MCCANDLESS: It does -- I -- it came to
9 mind when you were showing the photograph of -- the
10 photographs of the two siblings who clearly had
11 different physical appearance and different
12 neurodevelopmental outcomes. But those
13 neurodevelopmental outcomes were measured at different
14 ages presumably and so the one with the higher
15 neurodevelopment was also the younger and so it would
16 be important to as much as possible try to compare
17 people at the same age and those siblings at the same
18 age would be very interesting data.

19 ALEX KEMPER: Yeah. Yeah, we can't, you know,
20 I worry about having, you know, comparing an apple and
21 orange. You know, we have to standardize things. One
22 hundred percent, I agree.

23 CYNTHIA POWELL: Melissa Parisi.

24 MELISSA PARISI: Hi, Alex. This is Melissa
25 Parisi from NIH and I just had a question for you,
26 which I think was triggered a little bit by Shawn's
27 comment. I'm wondering if, at the time of your final
28 evidence review, if you might have a chance to also
29 give us an update on the current status of gene therapy
30 efforts for this condition. I realize that requires a
31 little bit of having a crystal ball and being able to
32 see the future. But it would be informative, I think,
33 to know what the -- what the current situation is for
34 gene therapy for MPS II.

1 ALEX KEMPER: I one hundred percent agree with
2 you and actually for the next presentation, what I plan
3 to do is just like have a table with all the different
4 studies that are going on and where things stand
5 because I think that's an important piece of the
6 puzzle.

7 MELISSA PARISI: Thank you.

8 CYNTHIA POWELL: Any other Committee Members
9 who -- Jane DeLuca.

10 JANE DELUCA: Hi, Alex. Thank you for your
11 presentation. No, I just wanted to clarify in terms of
12 siblings that have the same genotype, the -- in your
13 slide, you said the expectation is that the phenotypes
14 will be similar. Is that -- is that the case or is it
15 you'll have an uneven sort of presentation -- clinical
16 presentation between siblings in the natural history
17 without treatment?

18 ALEX KEMPER: Yeah, yeah. So, the technical
19 expert panel -- and I actually sent a follow-up email
20 to one of them about this just to clarify the point --
21 the expectation is that the -- the natural history sort
22 of untreated phenotypes between the two siblings would
23 be, you know, very similar. But, I mean, that's --
24 that's something we're going to have to dig up from the
25 literature as well and I suspect an outcome survey can
26 also provide us some insight into that.

27 So, I guess my answer is that the common wisdom
28 is that they're the same, but we'll have to explore
29 that in the data.

30 CYNTHIA POWELL: Any other Committee Members
31 who wish to ask a question or make a comment? All
32 right. We'll now open it up to organizational
33 representatives. Dr. Berry.

1 GERARD BERRY: Oh, yes. Hi, this is -- this is
2 Gerry from the SIMD. Thanks, Alex, for that wonderful
3 comprehensive review. Could -- could you tell us a
4 little bit about how -- how easy is it to distinguish
5 between the less severe form and the early onset form
6 in the post-newborn screening period and what impact do
7 you think that might be for the families in being able
8 to know whether it's one versus the other?

9 ALEX KEMPER: Yeah, you know, that's such a
10 wonderful question that has like so many -- it's like
11 an onion, right? There's like a million layers in that
12 question because, you know, when you look at the --
13 well, let me back up and talk about my own progression
14 in terms of thinking about this because when I first
15 started reading the papers, I was like oh, this is
16 going to be easy in terms of how they separate, but as
17 it turns out, this is a very complicated spectrum
18 disorder and I think that the terminology -- you know,
19 as I had said before in terms of attenuated and severe
20 and neuronopathic versus non-neuropathic -- I think it
21 almost works against understanding the nuance of the
22 condition and how profound the impact of the condition
23 can be on families.

24 So, you know, it's obviously a severe disease
25 and so, you know, my evolution in terms of reading the
26 articles was like, oh, this was really easy to -- to
27 put in the buckets. But now, you know, as you start to
28 learn about something, right, everything becomes more
29 complicated, now I think it's actually a lot harder to
30 necessarily put subjects in the buckets. And then, in
31 the world of newborn screening, right, what happens is
32 therapy begins early. We are going to change, you
33 know, the trajectory. I think that, you know, based on
34 what I've read and have talked about with enzyme
35 replacement therapy, you know, can have an important
36 impact on the issues outside of the CNS, but it doesn't
37 really seem to have the same impact as we would expect

1 on the CNS. But whether or not that's going to convert
2 everyone who is severe into attenuated, I think that
3 that's sort of a, you know, who knows -- who knows sort
4 of. This -- let me -- let actually rephrase that.
5 It's -- from what I've read and what I've seen, it's
6 clear that the enzyme replacement therapy is going to
7 have a major effect. It's -- it's not going to have
8 the same effect though on the CNS system. So, it's not
9 going to take somebody who is neuronopathic and make
10 them non-neuronopathic and if you define severity --
11 severity as based on CNS disease, then those
12 individuals might always be classified as severe. But
13 from what I've read, even in the absence of making
14 those changes and again from talking to people, I think
15 it could have an important impact. Again, that will be
16 for you all, you know, on the Advisory Committee to,
17 you know, make that -- that final decision. But again,
18 it's going to change, you know, newborn screening will
19 clearly change the natural history. Did that answer
20 your question?

21 GERARD BERRY: Yes, and speaking in
22 generalities, I think we all realize that newborn
23 screening is not a black and white affair and there's
24 differences. I would imagine that if the benefit to
25 the children of being detected early and then being put
26 on therapy were -- were so significant that it would
27 then outweigh the problems that maybe not being able to
28 give the -- the correct -- the correct diagnosis in
29 terms of severity, it would outweigh that. So, I guess
30 that's some of the things we probably have to keep in
31 mind.

32 ALEX KEMPER: Yeah, I think that's the issue
33 and then again, it's the CNS stuff, right? So, you
34 know, who is it that's going to need maybe some
35 additional attention or therapy directed towards CNS
36 stuff. I think that's -- even with newborn screening,
37 that's still going to be an issue.

1 GERARD BERRY: Um-hum, yes.

2 CYNTHIA POWELL: Anyone else? Debra
3 Freedenberg.

4 DEBRA FREEDENBERG: That's a great review. So,
5 one of my question is if we detect this for newborn
6 screening, is one of the outcomes we're going to be
7 looking at is overall survival for those individuals
8 detected by newborn screening or are we going to be
9 quantifying them more in terms of our standard
10 modifiers and what we're looking at for what we
11 consider success for enzyme replacement therapy?

12 ALEX KEMPER: Yeah, you know, I think -- so,
13 and it's funny and I should have mentioned this before.
14 You know, probably half the cases are detected at birth
15 that we've seen so far fall into the severe category
16 and so, you know, we do know that those individuals
17 have higher risk of earlier mortality. So, maybe we
18 could, you know, see a difference there. Again, part
19 of the problem though is just how long the evidence,
20 you know, goes out and what we can model and what we
21 can't. I think though that this -- this is, you know,
22 again, this is an open question, so we're going to
23 explore with the technical expert panel and, you know,
24 what we can do with the data in terms of modeling. But
25 I think that there are other patient-centered issues
26 other than death that we could and should look at.

27 CYNTHIA POWELL: Any other questions or
28 comments from our Committee Members or organizational
29 representatives? All right. I had an opportunity to
30 sit in on the first meeting with the technical expert
31 panel that Alex and his group had, and it was very
32 informative, and you've assembled a great group, Alex,
33 and I certainly look forward to your update again at
34 our November meeting.

1 ALEX KEMPER: Thank you very much. I -- I just
2 want to say the technical expert panel was just
3 unbelievably helpful -- really, really wonderful. We -
4 - it was really, really super helpful. So, if they're
5 listening, and I hope they are, I want them to
6 recognize how much we appreciate what they did.

7 CYNTHIA POWELL: Thanks. Don't go anywhere,
8 Alex.

9 Next on our agenda, Dr. Kemper will provide an
10 overview of key issues identified through the
11 Committee's review of the evidence review process, and
12 I will discuss the proposed updates and next steps.

13 I want to thank the Committee Members, the
14 members of the Ad-hoc Committee Processes Work Group,
15 organizational representatives, and members of the
16 public for their careful consideration and input over
17 the past several meetings. I'd also like to thank Dr.
18 K.K. Lam and Dr. Kemper for all of their efforts
19 throughout the course of this project.

20 As I noted earlier today, we're close to
21 finalizing the review and have identified updates that
22 can be piloted immediately and others that can be
23 implemented throughout 2022. I'd like to highlight
24 that throughout this process, the Committee has
25 explored some very complex questions, which will not be
26 resolved at this time. We will go over this in the
27 presentation, but some suggestions or actions will take
28 additional research or policy changes and cannot be
29 implemented at this time.

30 Dr. Kemper, I will now turn things back over to
31 you.

32 **OVERVIEW OF THE ADVISORY COMMITTEE'S REVIEW PROCESS AND**
33 **PROPOSED UPDATES**

34 ALEX KEMPER: Thank you very much, and I guess
35 I -- first of all, I want to thank the Advisory

1 Committee for putting up with me during the second
2 presentation. So, you know, it's a lot of listening to
3 me. But we've done a lot of work and I just appreciate
4 the opportunity to talk about it.

5 Dr. Lam, my partner in crime, has really worked
6 extensively on the material that we're about to go
7 through, and I really want to make sure that she gets
8 due credit for things. Next slide, please.

9 So, way back in February of 2019, which is
10 almost hard to remember given where the world is today,
11 we convened an expert panel -- expert advisory panel to
12 think through issues related to the whole process
13 leading up to having something added to the Recommended
14 Uniform Screening Panel or the RUSP. Next slide.

15 So, our objective is to inform the Committee
16 about ways to strength then evidence review and
17 decision-making process and also to develop consumer-
18 friendly guidance to help with issues of transparency
19 and really sort of understanding how the whole process
20 works. Next slide, please.

21 So, a lot of these materials have been
22 presented before. So, I'm going to go over it at a
23 very high level, but, you know, please feel free to
24 speak up or raise your little virtual hand if you want
25 me to slow down and dig in on something more. But, you
26 know, we -- we looked at everything from the nomination
27 process to the evidence review process, the decision
28 matrix, and then review of conditions that are already
29 on the Recommended Uniform Screening Panel. One thing
30 that I would remind the Advisory Committee is that that
31 is not something that's -- that's routinely or
32 regularly done. We have conditions that have been
33 added to the RUSP based on specific questions that have
34 been asked for us, but there's no, you know, standard
35 process for doing that currently. Next slide.

1 So, the guiding questions on our work is
2 thinking through, you know, what issues or changes are
3 needed in the -- in the process, what are the next
4 steps for doing that, how can we address those issues,
5 and then also thinking about the timing of changing
6 things, so what can be done immediately, what needs
7 more work, and so forth. Next slide, please.

8 So, our approach, you know, as we do with
9 everything, is convening expert panels, talking with
10 members of the Advisory Committee, and in the interim
11 summarizing things and talking about next steps
12 including issues of actionability. As I alluded to
13 before, HRSA and the Advisory Committee also convened
14 another ad-hoc committee to go through what was in
15 there, and now we're talking about next steps. Next
16 slide, please.

17 So, this is to remind everyone of the long and
18 winding road. I will not channel the Beatles and sing,
19 so everyone can feel good about that. But the process
20 that began back in February of 2019 leading to the
21 presentation today. I'm not going to read through the
22 slide, but I'll leave it here just for a minute just so
23 that you can remind yourself of how we got to this
24 point. Next slide, please.

25 So, what I'd like to do is to summarize key
26 issues and then after I go through this, I'm going to
27 hand things over to Dr. Powell, who can talk about what
28 the Advisory Committee's perspective on things is.
29 Next slide.

30 So, we revisited the nomination processes I
31 talked about before and from that, we've worked on
32 consumer-friendly guidance about how to -- how the
33 nomination process works with material that will be
34 posted to the Committee's website.

1 The next issue is information requested from
2 the nomination form doesn't directly link to what we
3 need in the evidence review process. And so, we have
4 proposed revisions to the nominal form that will be
5 forthcoming to the Committee website, as Dr. Powell
6 mentioned, at the start of this meeting. This doesn't
7 change anything for those individuals who are in the
8 process of nominating a condition.

9 Again, the whole process was just to make sure
10 that everything was in alignment to allow us to most
11 expeditiously go through our nine-month process. Next
12 slide.

13 One thing that we've talked about in the past
14 is at the time of nomination, there should be some sort
15 of review or landscape scan to identify what's out
16 there, again, to sort of jump start and facilitate the
17 evidence review process after discussing this and
18 figuring out, you know, how could this be reasonably
19 done without slowing down things. The plan was just to
20 take no action on this idea at this point. Next slide,
21 please.

22 Now, I'm going to switch gears and just talk
23 about the review process. So, one thing that is
24 critical when we do the review -- our reviews is that
25 everything hinges on what the case definition is, what
26 it is that we're trying to identify. The Advisory
27 Committee can easily see how everything just kind of
28 falls from there.

29 And so, in terms of the process of moving
30 forward, we developed an approach to be much more clear
31 about what the case definition should look like and
32 what it should include and how the evidence review will
33 work with the Advisory Committee to be clear about it.

34 The next thing was around figuring out what the
35 important outcomes related to newborn screening should

1 be. You saw how this came up with the discussion
2 around MPS II, and one of the things that we're going
3 to be doing, and I think you saw that in the
4 presentation, is just being very clear outright about
5 what the critical outcomes are going to be from our
6 review. In the future, one of the things that we might
7 consider is using some more formal stakeholder process
8 to rank the things that are most important. That's not
9 something that's there now, but we in the evidence
10 review side are going to be much more clear about the
11 important outcomes as early as we can. Next slide,
12 please.

13 The next was around assessing unpublished
14 evidence -- the so-called grey literature. Given how
15 fast many of the fields are moving and screening for
16 treatment, it is important to look at the grey
17 literature. What we have developed -- and we've talked
18 about this before -- is a better method to be clear
19 about the -- first of all, how we're going to find the
20 evidence and to assess the quality of the evidence, and
21 you'll hear us talk about that in subsequent meetings
22 even around MPS II and similar to that, when we talked
23 about this before, that on the evidence review side,
24 given how tight our timelines are, certainly we're
25 going to look to registry data and other important
26 sources of data that have been analyzed in a way that
27 we can use them. We, on the evidence review side,
28 cannot do primary analysis of data, and that is, we
29 can't take a dataset and just redo it ourselves.
30 Instead, we're going to focus on the higher-quality
31 grade literature that's already been analyzed. Next
32 slide, please.

33 In the next part on the evidence review side, I
34 just want to remind everyone we have the Public Health
35 System Impact Assessment. And so, we have revised the
36 survey that the states fill out, and when I saw we, I
37 want to give APHL and Jelili a lot of credit for doing

1 that. That's in place. It's very hard to change that
2 instrument because it has to go through the OMB
3 paperwork reduction clearance, which can take eighteen
4 months plus to get through. But I think we're in
5 really good shape there and again, the revisions have
6 been made to capture a better range of information.

7 The next thing was around cost estimates and
8 how to make them more meaningful, and working with Dr.
9 Scott at HRSA again, need to mention his name for MSP
10 II review, we're going to be much more transparent
11 about what those things cost and to provide really
12 what's a reasonable range when we talk about what those
13 costs are for the newborn screening program.

14 We have talked a lot with the Advisory
15 Committee and others around other costs, like related
16 to long-term follow-up plans, treatment, and that kind
17 of thing, but that is an area that's very complex given
18 the state of the availability and the evidence and the
19 nine-month expectation for completing a review. That's
20 not something that we can do right now, but it's still
21 an open area of conversation because understanding
22 issues of what systems are in place for long-term
23 follow-up and how much long-term follow-up costs are
24 important for planning to make sure that the
25 individuals get the kind of care that we all want them
26 to get. Next slide.

27 I'm now going to switch gears and a talk a
28 little bit about the decision matrix and again, I don't
29 want to rehash conversations that we've had before, but
30 figuring out how to -- how to have -- interpret where
31 we land on the decision matrix and the connection
32 between that and the final recommendation can sometimes
33 be confusing, especially when you're in the B rating
34 world.

35 And so, we have developed additional guidance
36 for how to think about things and talk about splitting

1 the matrix up from being this like multidimensional
2 thing into a sort of separate two-step thing to help
3 make those conversations more clear and more
4 transparent.

5 But again, additional work is going to be
6 needed around the relationship between the B ratings
7 and how that ties to recommendations to the secretary,
8 and again, Dr. Powell is going to talk about that in
9 her section. Next slide, please.

10 So, right now, the decision matrix essentially
11 ends up with a recommendation to the secretary that a
12 condition should be added or that it shouldn't be
13 added. But there's been a lot of discussion about
14 whether there should be a provisional recommendation
15 that is a very, you know, complicated issue in terms of
16 what that means for newborn screening programs. And
17 so, no action has been taken on that at this time.
18 That's an ongoing conversation.

19 The next issue related to this is thinking
20 about whether or not closely related conditions ought
21 to be considered as a panel versus doing the one
22 condition process at a time, which we're doing right
23 now, and that's still an active area of discussion, and
24 so, no action has been taken on that at this time.
25 Next slide, please.

26 Values, we have discussed a lot, in terms of
27 how do we assess the public perspective on the
28 decisions that have been made. We've discussed this
29 extensively at other meetings, and at this point,
30 there's been no -- no action -- no particular decision
31 has been made in terms of informing how the decision-
32 making process or what will happen in evidence review
33 has been made yet. And again, Dr. Powell is going to
34 talk a little bit about that further. Next slide.

1 And then we have the issue of reconsideration
2 of conditions on the Recommended Uniform Screening
3 Panel. So, as everyone knows, once something is added
4 to the Recommended Uniform Screening Panel, we learn a
5 lot more about the epidemiology of the condition and
6 the impact of early identification. There are a lot of
7 challenges in terms of doing this related to, you know,
8 where the data lives and the role of the reevaluation
9 on conditions on the Recommended Uniform Screening
10 Panel. So, this is still an area of active discussion.
11 No particular action was taken about this at this time.
12 Next slide.

13 And then, the next issue that we talked about a
14 lot is developing priority in research and areas that
15 need more development. Again, as we do our reviews, we
16 often -- not often -- we always try to identify gaps in
17 the literature. This particular issue is related to
18 the Committee's role in terms of identifying research
19 priority areas. We haven't taken -- and when I say we,
20 it's really Dr. Powell and the Advisory Committee, has
21 not taken a specific action on this at this time. But
22 again, it's an important area for you all to consider.
23 Next slide.

24 So, I am going to turn things over to Dr.
25 Powell. But based on all the things that I went
26 through in that very lightening fast presentation,
27 there are issues that are either now actionable but
28 need more discussion that you want to research or that
29 needs some sort of policy change in order for the
30 Advisory Committee to effect.

31 So, with that, I will hand things over to Dr.
32 Powell, unless anybody has like a clarifying question
33 or that kind of thing about anything that I went
34 through in that blindingly fast presentation. Dr.
35 Powell, I'll defer to you.

1 CYNTHIA POWELL: Yeah. We'll take just one or
2 two if there's clarifying questions. Natasha.

3 NATASHA BONHOMME: Hi, Natasha Bonhomme of
4 Genetic Alliance. When you were speaking about the
5 evidence review and including families and parents and
6 thinking of some different ways of doing that, was that
7 focused on those families and parents who have children
8 who are affected with that particular condition that
9 would be under review and/or would there also be a
10 process to get a more general perspective from, because
11 though we talk about these conditions and the families
12 that are impacted by them, we know that every newborn
13 screening affects basically every family and every
14 child in the country. So, just how are you -- what are
15 you thinking around that?

16 ALEX KEMPER: Yeah. So, we -- such a great
17 question and thank you for that -- that's actually one
18 of the -- the conditions -- or one of the issues to be
19 determined, and I think Dr. Powell is going to talk
20 about that in her part. Still, we talked to some
21 families right now just in terms of thinking about the
22 outcomes that are important -- families who have
23 children that are affected or affected individuals
24 themselves, depending on the condition, to be able to
25 prioritize the outcomes that are important. But the
26 voice that we don't hear are the -- the families, you
27 know, that are going to have children that would be
28 tested through newborn screening but may or may not
29 have the condition in the sort of general public, and
30 that ties into the whole conversation we've had around
31 values as well. And so, those are voices that are
32 critically important that we don't typically hear right
33 now.

34 CYNTHIA POWELL: All right. Thank you, Dr.
35 Kemper. We'll next go through the various components
36 of the process including, again, the nomination form

1 and process for nominating conditions, the evidence-
2 based review, the decision matrix, and the review of
3 conditions on the RUSP, and thinking about how we can
4 proceed in terms of things that are actionable, areas
5 that need more discussion, more research, and/or policy
6 change. Next slide.

7 I'd like to say that there's a lot of
8 information on these slides, and the slides will be
9 available on the Committee website following this
10 meeting. And in green, are areas of suggested actions
11 or changes. So, first we'll talk about the nomination
12 process.

13 As noted in Fiscal Year 22, we will have
14 consumer-friendly guidance and frequently ask questions
15 on the nomination process available. The revision of
16 the nomination form will be adopted in Fiscal Year 22.
17 And again, if you look at the current nomination form,
18 most of the recommended changes are not, you know,
19 major changes. It's more clarification of, you know,
20 what's being asked. And I think, you know, it seems to
21 me that that's helpful so that those who are nominating
22 conditions aren't thinking, you know, what is the
23 Committee asking for here. So, it provides more
24 clarity to what's being asked. There are a few
25 additional questions that are being suggested for
26 addition to the form.

27 So, again, information about the condition
28 including the enzyme, including the specific case
29 definition for the screening target, include the US
30 incidence, the estimation, and citations for that, and
31 what is the timing of clinical onset for phenotypes
32 that would be detected through newborn screening for
33 the condition. What's known about the severity of
34 disease, the US distribution, the prevalence, and
35 describe the medical and clinical care required?

1 Identify which treatments are current -- the current
2 standard of care for the condition. Next slide.

3 Continuing with the condition information and
4 treatment, what's the clinical indication for treatment
5 as well as the urgency? What are, again, the current
6 standards of care, and are there contraindications for
7 treatment initiation?

8 On terms of the efficacy or benefits of newborn
9 screening, again, what are the known phenotypes that
10 will be detected? And then, what's the availability of
11 treatment and follow-up? Are these available in most
12 hospitals? Would primary care providers be able to do
13 this? Would they only be available in major medical
14 centers and descriptions of the follow-up and what
15 would be needed in those specialized treatment centers
16 will be helpful. Next slide.

17 In terms of the evidenced-based information,
18 what is the modality of the screening specimen samples,
19 descriptions of the screening test, the platform, and
20 procedures? What is available information regarding
21 high-volume screening methods, instrumentation? Would
22 screening be available as part of a multi-analyte
23 platform? Are these lab-based analyses or off-the-
24 shelf kits? Are they FDA approved? And then does the
25 screening algorithm include a second-tier test? What
26 would be the modality of that specimen sample for a
27 tier-2 test? Would it be done off of the same dried
28 blood spot? Would additional samples, various types of
29 samples -- urine or other -- be required?

30 And what has been done regarding the clinical
31 validation -- the number of samples that has been run
32 through high-throughput screening methods? And in
33 terms of analytical validation, has the CDC newborn
34 screening and molecular biology branch been contacted
35 regarding validation measures?

1 In terms of the diagnostic testing and
2 timeliness, is this a critical condition or is, you
3 know, one of the forms of the condition that would be
4 detected through newborn screening critical in terms of
5 timeliness? What's known about that?

6 And then for the confirmatory testing methods,
7 what types of samples or specimens are needed? And
8 again, what's known about the clinical and analytical
9 validity of those confirmatory testing methods? Is it
10 quantitative or qualitative? What's the sensitivity
11 and specificity? Next slide.

12 In terms of the confirmatory testing again, is
13 there FDA approval of those confirmatory testing
14 methods? What's the availability of confirmatory
15 testing? Would samples need to be sent to specialized
16 testing centers in order to determine whether a case
17 would be a true positive or not?

18 And then in terms of prospective pilots, have
19 there been US and/or international pilot studies done?
20 If in the US, to cite the cities or regions of the
21 screening method and algorithm that was used in the
22 pilot and describe the screening method, provide a flow
23 chart with pilot outcomes in terms of how many infants
24 were screened, how many positive and negative screens
25 were there, how many of those were ultimately
26 determined to be true positives versus false positives,
27 and what confirmatory testing methods were done, in
28 what order were they done, and as much information as
29 possible about that.

30 Were the numbers of infants confirmed with the
31 diagnosis in pilots and what was the outcome? What
32 were the number of infants with positive screens versus
33 those who were actually diagnosed? And again,
34 information regarding the timeliness. Evidence about
35 outcomes, when possible, the duration of the follow-up

1 period and describe plans for longer-term follow-up of
2 newborns detected early.

3 Providing contacts for the pilot studies that
4 may have been done and then information about states
5 that are considering screening for the conditions or
6 states that are currently screening, or those that may
7 have state mandates that would require screening within
8 a certain period of time.

9 Again, something we've talked about a lot, but
10 patient registries or databases and the contact
11 information for those databases. And then including
12 unpublished data that would inform newborn screening.
13 Next slide.

14 In terms of the -- going on now to the
15 evidenced-based review process, Dr. Kemper has already
16 covered these, but in terms of those that are now ready
17 for implementation, assessing published evidence,
18 clarifying the case definition, and specifying priority
19 outcomes, identify those available and not available in
20 evidence.

21 Assessing unpublished evidence that's ready for
22 implementation. Formalizing current procedures and
23 framework for inclusion and continuing to consider
24 registry or unpublished data evidence, applying formal
25 assessment framework.

26 And for the Public Health System Impact, the
27 PHSI Survey has been revised. That's been done and
28 it's ready to implement with, for example, with the MPS
29 II review. A new Disorder Readiness Tool has been
30 developed and cost estimates in broad categories rather
31 than point estimates will be adopted in Fiscal Year 22.
32 Next slide.

33 With the decision-making process and the
34 matrix, additional guidance regarding that, more
35 information regarding the decision matrix purpose, how

1 to use it in deliberations, considering each criterion
2 individually and how to incorporate into the matrix
3 rating.

4 Additional guidance has been drafted regarding
5 describing each criterion and individual matrix
6 ratings, high versus moderate versus low certainty of
7 evidence, for example. And the Committee received in
8 their briefing book the draft of the final report and
9 also information regarding specifically the decision
10 matrix. I think breaking it down into the individual
11 parts is really helpful in terms of how the Committee
12 Members can just improve the thought process when
13 deliberating a condition. Next slide.

14 In terms of establishing a plan to conduct the
15 regular review of conditions on the RUSP, as Dr. Kemper
16 mentioned, this is something that the Committee has not
17 done in the past. But it was felt by Committee Members
18 and others that it would be helpful to do this, but we
19 would need to decide how often to do it. Would there
20 be a method of prioritizing which conditions to review
21 first? Would conditions to review be nominate or how
22 would they be selected? What would be the
23 considerations and criteria, and what would be the
24 goals and outcomes? And this will be discussed further
25 next fiscal year.

26 In terms of assessing long-term follow-up of
27 newborn screening, again we will continue to discuss
28 this issue further. What is the impact of newborn
29 screening? How can we get a better grasp of this? As
30 you know, that's an area that I've been, you know, very
31 interested in. I think it's really critical for what
32 the Committee is, you know, being asked to do. We
33 really have very little in terms of available data in
34 short- and long-term follow-up, especially across the
35 US. Where there are certain states that are doing

1 this, the majority of states are not, often due to lack
2 of funding to do this.

3 And so, we think about the costs of
4 implementing this, also looking at what are the costs
5 of treatment for the conditions that are on the RUSP,
6 and what's the impact on health care system and
7 providers? Have there been sufficient places where
8 infants identified through newborn screening have been
9 able to go and obtain appropriate both short- and long-
10 term follow-up care? And what has been, you know, has
11 that access been equitable? How difficult is it to
12 access care, and not only in, you know, major
13 metropolitan areas, but in more rural states? Next
14 slide.

15 So, we hope to establish a priority list of
16 research and development issues. That work will be
17 ongoing. As I said, we'll revisit the decision matrix
18 further. We'll also continue to discuss long-term
19 follow-up in newborn screening, and then an area that a
20 number of past and current Committee Members have
21 brought up is how to assess the values of stakeholders
22 and a thought that, you know, we haven't been able to
23 include all stakeholders in terms of, you know, getting
24 feedback when new conditions are being considered and
25 how do we go about doing that? How do we include them
26 in the decision-making process? Again, that's an area
27 of further discussion. I think, you know, we talked a
28 bit about particularly families, the public, not only
29 those who have had children with conditions identified
30 or potentially identifiable through newborn screening,
31 but also families who may have experienced going
32 through the process when it's ended up being a false
33 positive result and just general feedback from the
34 public. What are values and preferences for some of
35 the critical outcomes? Next slide.

1 So, again, the major issues. Communication
2 regarding the purpose of the decision matrix is
3 lacking. It's a complex tool. Again, actionability,
4 confirming the process of using the decision matrix for
5 the Committee to determine recommendations and actions
6 within the decision matrix and in some of the past or
7 most recent decisions that the Committee has made in
8 terms of the B ratings where there's, you know, there's
9 a moderate certainty of evidence. Guidance is scant
10 about this. There's been some, I think, concerns about
11 the B ratings that some successful nominations have
12 had. It's not entirely clear about, you know, the B
13 rating. So, additional description of the B rating can
14 be developed using past reviews that have had a B
15 rating and perhaps creating a tracker or score card.

16 In terms of net benefit, it's also a bit
17 unclear regarding what should be considered and the net
18 benefit regarding the sum total of benefits versus
19 harms and descriptions for each criterion within the
20 decision matrix are limited for the complexity of
21 conditions. So, additional guidance was included in
22 the final report regarding, you know, these
23 considerations and how to better define some of the
24 components of the decision matrix. So, that's been
25 done. It's still in draft form, but it has been
26 completed.

27 And then going forward, to consider further
28 transparency efforts by requiring scoring or rating of
29 each matrix criterion and then an overall rating that's
30 collected with the vote, comparing it, for example, to
31 the NIH grant review scoring and the EVIDEM scoring
32 rubric. Next slide.

33 In terms of long-term follow-up information,
34 plans or screening outcomes or costs. The meeting
35 attendees underscore the importance of describing long-
36 term follow-up plans for conditions nominated for

1 addition to the RUSP. This includes the diagnostic
2 testing, treatment, and possible longitudinal
3 surveillance. Again, for further discussion, further
4 research, and possible policy change.

5 And conducting follow-on or follow-up
6 assessments of screening outcomes, costs, treatment
7 access, and follow-up reviews of RUSP conditions. The
8 meeting attendees further underscore the importance of
9 continuing to assess the cost implications and outcomes
10 after a condition is added. This information could
11 help state public health programs prioritize and budget
12 new screening programs and provide feedback regarding
13 the Committee's activities with newborn screening and
14 also help inform whether treatment access maintains
15 equity of newborn screening or if gaps and issues need
16 to be addressed. And again, there will be further
17 discussion of this as we go forward, further research,
18 and possible policy change. Next slide.

19 So, again, the key issues identified by removed
20 from consideration for feasibility is a scoping review
21 during the Nomination and Prioritization Work Group
22 Review to address the nomination package bias.
23 Currently, there is limitation to how much of an in-
24 depth review can be done by that work group, but that
25 is an area that perhaps given additional resources in
26 the future, we may be able to do.

27 Expansion of the decision matrix to include
28 conditional or provisional recommendations. Not to say
29 that that isn't important to consider, but it was felt
30 that at this point, it just wasn't feasible to proceed
31 with.

32 And then, how do we consider multiple
33 conditions concurrently and that's certainly something
34 that we'll need to continue thinking about in the
35 future. But it was not felt to be something that could
36 be enacted immediately or in the near future, but

1 consideration of more -- more thought and work in the
2 future.

3 And I think that's my last slide, yeah. So,
4 now, we will open it up to first questions and comments
5 from the Committee Members, followed by organizational
6 representatives. As a reminder, please use the raise
7 hand feature in Zoom when you would like to make
8 comments or ask questions and when speaking, please
9 provide your -- unmute yourself and provide your first
10 and last name each time you ask a question or provide
11 comments to ensure proper recording.

12 As we begin the discussion, please remember the
13 plan is for the Committee to hold a vote on whether or
14 not to approve the updates at the November 2021
15 meeting, so our next Committee meeting.

16 All right. Any Committee Members who would
17 like to comment? Scott Shone.

18 SCOTT SHONE: This is Scott Shone, Committee
19 Member. So, I'm struggling with what and how to say my
20 thoughts on the presentations. So, I appreciate -- let
21 me start by saying I appreciate all of the work that's
22 gone into it. I'm kind of disappointed because I feel
23 like we missed the mark on some really significant
24 issues that precipitated the need for this discussion
25 review, and I think that some of the things that have
26 been put off have been put off a lot by our group
27 because they are difficult and they are challenging to
28 talk about and think about. You know, I'm a
29 laboratorian by training, and I've learned a lot from
30 my follow-up colleagues over the years, and I
31 appreciate all they have said and done to teach me. I
32 think the long-term follow-up piece of this that keeps
33 getting pushed off is very frustrating. I think we've
34 well established a need for it. I think we've well
35 established that that is the data that drives our
36 ability to make data-driven decisions on where we go

1 with newborn screening. And it's frustrating, I think,
2 the fact that it gets put off meeting after meeting of
3 it's big, it's resource-driven, et cetera and as a
4 state lab director, having seen the volumes of money
5 that are flowing in the pandemic, to think about what
6 could we do if we had a fraction of the dollars coming
7 into infectious diseases for this community and what we
8 could -- how we could change the health of newborns and
9 children is what this Committee is all about.

10 So, I think that I would encourage us to think
11 about long-term follow-up with more discrete and time-
12 driven actions moving forward so that we can really
13 think about how to facilitate -- learn lessons from the
14 states that are doing it and it well and facilitate
15 implanting that across the country. So, that's my
16 first thought and that's long-term follow-up.

17 I think we've well established that there's
18 inequity across the country intermittent terms of
19 access to care. There were a couple comments in the
20 slides around looking more at what are the challenges
21 in rural communities and this and that, and I think
22 we've heard time and time again from our wonderful
23 advocates who get up at public comment and talk about
24 the deserts of care and we know a lot about that, and I
25 think we just need to take that data and -- and try to
26 be -- try to be forceful about it, and I would ask Dr.
27 Warren if there's an opportunity to leverage the Office
28 of Health Equity in HRSA to have a cross-agency
29 collaboration to look at how can we think broader than
30 just the Advisory Committee of Heritable Disorders in
31 Children and the Maternal and Child Health Bureau to
32 try to solve some of these issues because we do know
33 that these exist and we've talking -- again, we've
34 talked about them a lot, and I would love to be part of
35 actions to drive ways to think about that.

1 And I'll end by just saying, you know, I think
2 the nomination process still drives a lot of advocacy
3 groups and scientists towards newborn screening of
4 dried blood spots, and I think we pigeon-hole groups
5 into that, which creates a burden on the newborn
6 screening system itself and dried blood spots, looking
7 for biomarkers that are solely in dried blood spots and
8 then burdening -- I'm going to talk about workforce
9 issues tomorrow and further burdening the system that
10 way. And I think, you know, I'm occasionally -- and
11 I'm thinking about what if we had a nomination package
12 for, you know, urine in a 6-month-old that could 100
13 percent -- with 100 percent sensitivity identify a
14 certain disorder. What would we do with that? And we
15 are the Committee on Heritable Disorders in Newborns
16 and Children. I think that there might be
17 opportunities that we're missing to really think about
18 ways we can impact children's health and look for
19 disorders that have therapeutics beyond the massive
20 overwhelming number of genetic therapies that are
21 coming for all these other disorders.

22 So, I think there -- I see -- I understand the
23 plans for FY22, but I think we need to think with more
24 action and -- and the time has -- has almost passed
25 based on the topics we continue to talk about. And I'm
26 happy to put my actions where my mouth is and
27 participate on any group that I've just tagged as
28 needing to be part of a longer-term solution get things
29 done. Thank you.

30 CYNTHIA POWELL: Thank you. Dr. Kemper or Dr.
31 Warren, did you want to have an opportunity to address?

32 ALEX KEMPER: Well, I mean, I'll defer back to
33 you in terms of the -- the -- you know, what the
34 Committee does moving forward. On our side, we're
35 happy to do whatever, you know, it is that we're

1 charged to do. So, I'll defer that to you and Dr.
2 Warren.

3 CYNTHIA POWELL: Dr. Warren, you're muted.

4 MICHAEL WARREN: Thank you. Sorry, guys.
5 Thank you both, Dr. Kemper and Dr. Shone. I, certainly
6 on the equity issue, would be happy to explore that
7 both with HRSA's Office of Health Equity and the
8 broader equity work we're doing in the Bureau -- the
9 strategic plan we just released. Equity is one of the
10 four key goals. So, this aligns very well with where
11 we're at and would welcome additional conversation
12 there.

13 CYNTHIA POWELL: Any other -- Annamarie.

14 ANNAMARIE SAARINEN: Hi. I'm Annamarie
15 Saarinen, Committee Member. Thanks for all this great
16 work and I've been glad to be part of the last six or
17 eight months anyway of the subcommittee working on
18 this. And Dr. Shone, I really appreciated your
19 thoughtful responses there. I was nodding my head with
20 pretty much everything you were saying.

21 I did have a little -- a couple of procedural
22 questions, if that's okay. And one -- and forgive me
23 if I missed it as a lead in to the presentation. What
24 will be happening between now and the proposed November
25 vote? Is there any expectation that there would be an
26 opportunity for modifications, updates, feedback,
27 things that might change in either a small or
28 substantive way as this is put before a Committee for
29 vote in November? That's procedural question one.

30 Procedural question two ties to, I think
31 previous meetings and consensus around what sounded
32 like from your both -- both Dr. Powell and Dr. Kemper,
33 from your reports, it sounded like kind of concrete
34 decisions or concrete recommendations have been made by
35 the work group around -- sorry about that guys --

1 around long-term follow-up, around provisional review,
2 and potentially provisional acceptance if the framework
3 or guard rails were there and there was a third one too
4 that I was thinking about -- oh, multi-condition review
5 -- multi-condition review because I remember us talking
6 about it and working through some sort of pros and
7 cons, but it felt a little more concrete in this
8 presentation than I remember it being in our last
9 meetings. So, those are just my comments, and again,
10 really appreciate this work and I -- I feel that sense
11 of urgency of making some of these things actionable
12 versus like we've been talking about them for a really
13 long time, and I understand there's work that goes into
14 this and I to the degree I'm able would be willing to
15 step up in any way that can be useful. But I think
16 those three subjects in particular play directly --
17 directly into health equity for the babies and children
18 that this Committee and all of the state programs are
19 aimed to serve.

20 CYNTHIA POWELL: So, in addressing your -- your
21 first question, you know, the slides will be posted.
22 There will be more opportunities for public comment.
23 Committee Members will, you know, have opportunities to
24 review the more specific changes. Certainly, there
25 will be, you know, another presentation and opportunity
26 for discussion as well as, you know, suggested
27 additions and changes at the November meeting. So, I
28 think, you know, nothing is set in stone at this point
29 and, you know, thinking about some of the, you know,
30 the other areas, you know, hopefully it -- while we may
31 not be able to vote on them in November, you know, I
32 think that if it's something that the Committee feels
33 strongly about that, you know, it shouldn't be
34 postponed. These things do need to move forward.
35 That, you know, we'll be able to address those -- those
36 things. I mean, I totally agree with, you know, your
37 concerns and Scott's concerns, you know, that, you

1 know, multi-condition review, you know, is something we
2 need to think about as well as the long-term follow-up.
3 So, I think, you know, areas where it's felt that
4 additional data is needed or possibly research, you
5 know, that is something that we have to look to HRSA
6 and, you know, what are their funding abilities at this
7 time.

8 So, Alex, I didn't know if you wanted to make
9 any comments.

10 ALEX KEMPER: No, I don't really, you know,
11 again, on our side, we're happy to do, you know,
12 whatever we're charged with. So, I don't want to
13 stretch into anything related to final decisions.

14 CYNTHIA POWELL: Shawn McCandless.

15 SHAWN MCCANDLESS: Thank you. This is Shawn
16 McCandless, Committee Member. Being newer to the
17 Committee, I -- I don't -- I wasn't around, I think,
18 when this activity started in the -- the pre-COVID era.
19 So, the questions that I have that are not clear to me
20 is what is the actual goal or driving need behind this
21 review? What are we trying to accomplish with this
22 review in this action and specifically, is the goal to
23 improve equity for conditions that are being added to
24 or for the patients that have those conditions? Is it
25 to enhance the speed or the ability to make these
26 decisions? Is the goal to enhance transparency or the
27 standardized evidence review to further standardize the
28 evidence or are there other goals? It just would be
29 helpful to me to understand what was driving this in
30 the first place.

31 ALEX KEMPER: Yeah. Let me just take a quick
32 stab at this and then hand things over to Dr. Powell.
33 So, you know, we've been doing these evidence reviews
34 for quite a while and began to learn lessons around
35 what worked and what didn't work and what would improve

1 our efficiency and then also, critically important,
2 what would improve transparency. And that's what led
3 to a series of meetings, really looking at the entire
4 process from, you know, I think about it as how a bill
5 becomes a law, right? So, like how things become
6 nominated to when the final vote was made and as we
7 began to look all components, it just became more and
8 more complex when we decided to look at each little
9 bit.

10 So, certainly, you know, we very much want to
11 promote equity but, you know, there's everything else
12 around making sure that the Advisory Committee, you
13 know, is best able to make a recommendation on
14 transparency with the public, you know, and all these
15 other things that you mentioned.

16 And so, what happened is it quickly grew into a
17 very complex and comprehensive series of projects. But
18 in terms of, you know, where that's going to go and
19 those kinds of things, I'm going to get things back
20 over to Dr. Powell because I don't want to pass what we
21 do in terms of evidence review.

22 CYNTHIA POWELL: And I didn't see Mia's hand up
23 before, but Mia, did you want to comment?

24 MIA MORRISON: Yes. Thank you, Dr. Powell.
25 And I think at this point, I just want to say I know
26 that the conversation is continuing and I want to thank
27 the Committee Members that provided their feedback
28 throughout this entire process and also today. And I
29 want to mention that the vote that will occur in
30 November by no means is meant to end conversations that
31 very much should be ongoing. We need to start to
32 implement and to put into action some of the changes
33 that can strengthen the process immediately, but I want
34 to emphasize that it won't mean that further discussion
35 is ended, and I want to thank Dr. Shone for pointing
36 out, you know, that he's willing to help participate in

1 further conversations and work groups because I think
2 that it's important that as a Committee we don't kind
3 of stop at the end -- at the end of this vote, that we
4 continue to look for ways to strengthen processes
5 moving forward. Thank you.

6 CYNTHIA POWELL: And Shawn, just to, you know,
7 address your question in addition to what Alex said, I
8 -- I think, you know, it wasn't just one thing, it was
9 sort of a global feeling that, you know, when the
10 decision matrix was first implemented, you know, we
11 weren't sure exactly how it was going to work. Would
12 it be successful? I think there were some issues as it
13 went on and as the, you know, specific conditions were
14 reviewed utilizing the decision matrix and voted on
15 using the decision matrix, that it was felt that, you
16 know, it's always good to review the process and how
17 the Committee is going about making decisions and, you
18 know, again some feeling that not all parties were
19 being heard from, when there would be votes, as well
20 as, you know, other things like the nomination form
21 that, you know, it was thought to be deceptively simple
22 that, you know, it looked on paper that it was really
23 easily to fill out and that wasn't always the case and
24 what really would, you know, help the evidence review
25 process, you know, required additional information. It
26 would be very helpful to get that additional
27 information. So, things like that.

28 So, let's see. Annamarie, did you have another
29 comment or did you still have your hand up from before?

30 ANNAMARIE SAARINEN: Yeah. Sorry about that,
31 lowering now.

32 CYNTHIA POWELL: Okay, no problem. Any other
33 Committee Members now with -- if not, we'll go ahead to
34 the organizational representatives and Chris Kus.

1 CHRISTOPHER KUS: Yes. Scott, I really
2 appreciate your comments, and I wonder whether you
3 could briefly summarize your suggestions in writing so
4 I can consider them seriously. I'd appreciate that,
5 thanks.

6 CYNTHIA POWELL: He's giving a thumbs up for
7 that. Robert Ostrander.

8 ROBERT OSTRANDER: Robert Ostrander, liaison
9 from American Academy of Family Physicians. I also
10 appreciated your comments, Scott, and specifically as,
11 you know, part of the Follow-up and Treatment Work
12 Group, I would suggest that what was sort of drawn out
13 in broad terms in today's presentation be sort of a
14 formal narrow requirement that at least an
15 architectural blueprint for follow-up and treatment
16 both what's available to accomplish it and what's
17 available to measure it be a requirement of the
18 nomination process.

19 My main ask here is consideration and perhaps
20 some comments from Alex about whether the non-disease
21 specific treatments that could be implemented and have
22 benefit in terms of the course of illness, prolonged
23 ambulation, those kinds of things could benefit from
24 early diagnosis, preclinical diagnosis are ever
25 considered because it seems like all we talk about is
26 the disease-specific treatments. But having, you know,
27 done some work on the DMD issue aside from the disease-
28 specific treatments, you know, there's a pretty strong
29 feeling that by having this diagnosed early, even
30 preclinically, and getting kids enrolled that providing
31 these non-disease specific treatments modifies their
32 course.

33 Now, it may not be feasible to consider this.
34 But just because something isn't pharmaceutical doesn't
35 mean it's not beneficial and it doesn't mean it's not

1 useful when applied earlier than it would be if you had
2 to wait for clinical diagnosis.

3 ALEX KEMPER: That's a great question. We do
4 actually look for any evidence regarding the benefit of
5 early identification versus later identification
6 regardless of whatever, you know, additional supports
7 are given. It just happens to be that the cases -- the
8 conditions that we've at before have had, you know,
9 like specific, you know, drugs that can be given. You
10 know, you can imagine maybe there might be also a
11 condition where there would be some pharmaceutical
12 intervention that wouldn't be given until, you know,
13 some period of time later or whatever. But we would
14 think about that and also think about the non-disease
15 specific supports that individuals might get.

16 So, I mean, that's a long-winded way to say oh
17 yeah, we would definitely look at that. It's just that
18 the conditions we've looked at have all had the kinds
19 of interventions that would begin as soon as diagnosis
20 was made.

21 Certainly, you know, DMD brings up all sorts
22 of, you know, potential supports that those individuals
23 would get as well as, you know, steroid therapy and
24 that kind of thing. But regardless, we would look at
25 whatever we could find regarding the benefits and harms
26 of early identification versus when it might come about
27 through usual clinical identification. Does that make
28 sense? Okay.

29 CYNTHIA POWELL: Natasha Bonhomme.

30 NATASHA BONHOMME: Hi, Natasha Bonhomme. Thank
31 you to everyone who already spoke and all of the
32 comments from the members and the org reps. I think
33 it's really shown the different conversations that we
34 can and should be having in the upcoming years. I have
35 a question and a comment.

1 My question is, when talking about the
2 consumer-friendly materials, has it been discussed
3 exactly like how that would be tested or what would be
4 the markers of success for that or is that, you know,
5 to come later down in the process?

6 And then the comment is, you know, seeing that
7 we have had a bit of this discussion around equity,
8 seeing that in terms of the discussion around long-term
9 follow-up, it's just kind of a reminder to know we know
10 just how equity is an issue throughout the entire
11 health care system. It's also an issue throughout the
12 newborn screening system, not just the long-term
13 follow-up one. And just thinking again whether it's
14 just in this iteration or future iterations and phases
15 as Mia was speaking, to really think about, you know,
16 how is the nomination process an equitable one and how
17 can we make it even more so in testing that out and
18 seeing, you know, whether it's reaching out to people
19 in groups who started the process and stopped and
20 understanding what were those issues. I'm just saying
21 even from a logistical perspective, there are some
22 equity considerations that would be good to look at.
23 Thanks.

24 CYNTHIA POWELL: Thank you. And I think Shawn
25 McCandless will be addressing some of the things about
26 equity that you mentioned.

27 ALEX KEMPER: I can respond to that about the
28 consumer-friendly stuff. So, you know, it sort of hits
29 at two different levels. One is the good news is, you
30 know, we have someone that can help us write at the
31 appropriate reading level, but that's not the same as
32 having something that's -- that's accessible and
33 understandable and hits the mark. So, that's certainly
34 ongoing work and I'm happy to talk to you offline about
35 the best way to do that. I mean, clearly, you have

1 deep understanding of how to go about doing that. So,
2 it's -- it's still a work in progress.

3 NATASHA BONHOMME: Great. Thanks, that's
4 helpful because yeah, consumer-friendly is not just a
5 seventh-grade reading level. It's a lot especially
6 with something as complex as this. So, that's great.

7 ALEX KEMPER: One hundred percent agree.

8 NATASHA BONHOMME: I'm happy to talk to you,
9 Alex.

10 ALEX KEMPER: Hundred percent agree.

11 CYNTHIA POWELL: And hopefully those will be
12 updated on a regular basis based on feedback that we
13 get from groups. Also, one of the good things about
14 FAQs, you can always add additional questions on that
15 are coming up frequently.

16 Any other questions or comments from anyone who
17 we haven't hear from? All right. Well, clearly these
18 are areas of much interest and importance. As we move
19 forward, it's my hope that we'll be able to address all
20 of these things, even those that may not have risen up
21 to the very top at this point. But hopefully there
22 will be available funding and resources to implement
23 many of these things.

24 I think -- let's see -- we're almost on time.
25 We're a little bit early for our public comment period.
26 Mia, is it okay to proceed with that now?

27 MIA MORRISON: Yes. Please, go ahead.

28 **PUBLIC COMMENT**

29 CYNTHIA POWELL: So, we received one written
30 public comment and nine requests by individuals to
31 provide oral public comments to the Committee today.
32 Committee Members received a copy of the written
33 comment prior to the meeting. At the May 2021 Advisory

1 Committee Meeting, we had two public comment periods.
2 One was open to any newborn screening-related topic and
3 the other was specifically dedicated to the Committee's
4 review of its nomination, evidence review, and
5 decision-making processes. We received important
6 feedback from stakeholders and wanted to provide
7 another opportunity for the public to submit comments
8 on this topic for the August meeting. The following
9 questions were posted to the Committee's website. Is
10 there a next slide? Maybe not, okay.

11 On the condition nomination form, what
12 additional information would better inform the
13 Committee such as proposed case definition and
14 screening target, long-term follow-up outcomes, list of
15 known registries, and unpublished data contacts? What
16 information is difficult to obtain? What types of data
17 and/or information should be included in the evidence-
18 based review to better inform the Committee? Next
19 slide.

20 The decision matrix is a tool to assist the
21 Committee in making decisions. Are there suggestions
22 for additions or edits on the decision matrix?

23 What types of educational materials would help
24 to explain and clarify the Committee's condition
25 nomination, evidence review, and decision-making
26 processes? And any other comments or input?

27 We received two requests to provide oral public
28 comment in response specifically to these questions.
29 Mr. Dean Suhr and Ms. Elisa Seeger will deliver those
30 remarks in a few minutes. Committee Members also
31 received a written version of Ms. Seeger's statement.

32 As I mentioned earlier, members of the public
33 have noted issues that the Committee will not be able
34 to address in the current set of proposed updates.
35 However, this doesn't mean that the conversation ends

1 here. Feedback that cannot be addressed at this time
2 will be kept under consideration as we move forward.

3 We will now hear from those individuals who
4 registered to deliver comments today. The first six
5 individuals will address the nomination of GAMT
6 Deficiency to the RUSP.

7 First up, we have Kim Tuminello followed by
8 Heidi Wallis in terms of order. Then we'll hear from
9 Dr. Longo and Dr. Pasquali, and then Becky and Stu
10 Tribe.

11 KIM TUMINELLO: Okay. Can you all hear me?

12 CYNTHIA POWELL: Yes.

13 KIM TUMINELLO: Great. Thank you. Good
14 morning. Thank you for having us today. My name is
15 Kim Tuminello, and I'm a cofounder for the Association
16 for Creatinine Deficiencies and currently serving as
17 Director of Advocacy. However, my most important role
18 is that of being a mother of two children who were both
19 diagnosed with GAMT, the condition which you'll be
20 voting on later today.

21 For those of you who may not be familiar with
22 this rare disease, we were here in 2016 when GAMT was
23 first nominated for the RUSP. I think there are
24 probably many of you here today that watched the rather
25 long and difficult debate on whether to vote GAMT to
26 the Evidence Review Board. Obviously, at that time, it
27 did not move forward, but not because it wasn't a
28 serious medical condition or had an incredibly safe and
29 effective treatment that is inexpensive and easy to
30 detect, but because at least one baby had not been
31 positively identified during a newborn screen. That
32 was the only criteria that had not been met. The
33 heartbreak in the room was palpable and not only by me
34 and the other mothers, but by several of the Committee
35 Members who had voted to move it forward. As a mom,

1 knowing the difference of a child that not detected
2 until he was 10 months old and a younger sibling that
3 was treated from birth, I knew what devastating
4 consequences there would be for children and families
5 to come and yes, there are babies in our community
6 today that would have benefited.

7 After that vote in 2016, Dr. Bocchini kindly
8 approached our group of moms and told us not to give
9 up, that this would probably eventually be put on the
10 RUSP but that we need to advocate in other states and
11 to come back as soon as that baby was found. Today, we
12 are here again because that baby was found in Utah and
13 then another shortly after in New York.

14 I'd also like to briefly mention the cost of
15 testing for GAMT is estimated to be as low as 30 cents
16 per baby. But a study done by CHOPP says a child with
17 an intellectual disability or autism spectrum disorder
18 is costing today around \$2.4 million. We simply cannot
19 afford to not test for GAMT. But here is the reality.
20 My son who was diagnosed at 10 months old is now 15
21 years old. He has seizures, speech problems, feeding
22 problems, tactile issues that affect his everyday life,
23 fine motor skill impairment like brushing his teeth and
24 shaving himself, and social and learning difficulties.
25 However, my daughter is a typical kid in every way, is
26 a great student, and requires no school assistance,
27 IEPs, or therapy of any kind, and will never be a
28 burden to the state in any way. She just joined the
29 water polo team, plays soccer, and has a thriving
30 social life. I see the differences every day -- every
31 heartbreaking day.

32 We know that you have a tremendous
33 responsibility here today and we are thankful to be
34 here with all of you. We believe that GAMT is and
35 always has been the perfect disease for newborn
36 screening. Please move it forward today. Thank you.

1 CYNTHIA POWELL: Thank you. Before we move on
2 to our next comment, we do not have Becky and Stu Tribe
3 on. If you're present, can you please raise your hand
4 so we can identify you and make sure that your mics are
5 open for your presentation.

6 All right. We'll next hear from Heidi Wallis.

7 HEIDI WALLIS: Good morning or afternoon. Can
8 you hear me?

9 CYNTHIA POWELL: Yes.

10 HEIDI WALLIS: Okay. Great, thank you. My
11 name is Heidi Wallis. I am the parent of two children
12 with GAMT Deficiency. I'm also the President of the
13 Association for Creatinine Deficiencies, and I
14 additional work in the Utah Public Health Lab in the
15 Newborn Screening Program.

16 Today, I'm here with high hopes following the
17 good news of the two babies who have been identified
18 with GAMT through newborn screening, as Kim mentioned.
19 In 2016, GAMT was not moved forward to evidence review
20 by one vote based on the newly introduced criteria
21 requiring a prospective identification of a baby with
22 GAMT through newborn screening. This criteria has now
23 been met twice. I would like to think that GAMT has no
24 barriers at this point, but I will try to reassure you
25 with a few points that this is indeed the no-brainer
26 disorder for newborn screening, as it has so often been
27 called.

28 Point number one is GAMT is serious. As Kim
29 mentioned, there is a neurotoxin that builds up in the
30 brain of children with GAMT. This begins shortly after
31 birth and children like my daughter, Samantha, who is
32 18, are born looking typical. They are not identified
33 as having any problems and seem unaffected until the
34 damage has been done and there is long-term effects.
35 And based on my experience with families, if the

1 diagnosis is not made within the first few months of
2 life, the effects are not repairable.

3 Number two, GAMT cannot be easily detected. We
4 can't rely on pediatricians for a timely diagnosis.
5 Diagnosis of GAMT before GUAC impacts the brain can
6 only be accomplished through newborn screening or
7 family history. There is no telltale dysmorphic
8 feature that we can rely on or even a common
9 manifestation of symptoms over time. Doctors struggled
10 to diagnose my daughter. She was diagnosed with global
11 developmental delay, then autism spectrum disorder,
12 until finally at 5 years of age -- 5 years of having
13 that neurotoxin on her brain -- she began to have
14 seizures and she has not recovered from those seizures.
15 She still has seizures and they are progressing.

16 Number three, treatment works and it costs less
17 than a newborn's diapers. It's incredibly affordable.
18 It's over the counter and safe. My 9-year-old son,
19 Louie, who was diagnosed at birth because of his big
20 sister, is unaffected by GAMT today. Because of this,
21 I know my daughter's life could have been changed had
22 she received treatment at birth like her brother. She
23 wouldn't have seizures or be intellectually disabled,
24 but that can change starting now for families with this
25 Committee. Individuals of GAMT can live normal,
26 healthy lives if treatment can start shortly after
27 birth.

28 So, finally with all of this seeming like we've
29 checked all the boxes, I want to address some points
30 that shouldn't matter, but they have been raised by
31 some people, and I don't want these to affect anyone's
32 opinion.

33 Number one is the perception that GAMT is just
34 too rare to screen for. While the true incidence of
35 rate of GAMT won't be known until there is more
36 screening, but there are estimates as high as 1 in

1 120,000. Arginase deficiency, a RUSP condition, has an
2 estimated instance of 1 in 300,000. How rare a disease
3 is or is not should not matter. But GAMT isn't the
4 rarest of the diseases on the RUSP.

5 Number two, GAMT is too hard to screen for or
6 as one Committee Member commented to me off record, it
7 would be so much easier if GAMT were in the Perkin
8 Elmer kit. Well, GAMT is not hard to screen for. As
9 the recently published paper, Prospective
10 Identification by Neonatal Screening of Patients with
11 Guanidinoacetate Methyltransferase Deficiency details,
12 GAMT patients have levels of GUAC well above the
13 cutoffs set by New York and Utah. They are easily
14 identified. The screening for GAMT is accomplished by
15 adding the analytes GUAC and creatine to the already
16 performed mass spec testing of amino acids and
17 acylcarnitine. Perkin Elmer is currently working to
18 integrate GAMT marker measurement in their non-
19 derivatize -- excuse me -- nonderivatized MS/MS assay.
20 More data will be presented by Perkin Elmer in the APHL
21 Newborn Screening Virtual Symposium.

22 Finally, number three. It's expensive for
23 states to add GAMT. As I just mentioned, this is one
24 of the least expensive RUSP additions proposed. It
25 requires no additional blood spot, no additional
26 instrument, or additional staff. It's two extra
27 analytes from a test already being performed. States
28 cannot afford to not screen for GAMT when considering
29 the cost to society of an intellectually disabled
30 citizen.

31 I ask that you consider the facts I've shared
32 along with your sense of what is right for GAMT
33 families across the US and vote to move GAMT forward to
34 the Evidence Review Committee. Thank you for your time
35 and your consideration.

1 CYNTHIA POWELL: Thank you. Next, we'll hear
2 from Dr. Longo.

3 NICOLA LONGO: Thank you very much for giving
4 me the opportunity to talk on this panel. I'm a
5 medical and biochemical geneticist at the University of
6 Utah and I treat children with intellectual disability
7 and metabolic disorder.

8 What strikes me about GAMT Deficiency is the
9 similarity between this condition and Phenylketonuria,
10 the very first condition included in newborn screening.
11 Children are perfectly normal at birth and then they
12 fail to obtain milestones as they grow older and then
13 many of them become hypertonic, most of them will
14 develop seizures and movement disorders, and many of
15 them are diagnosed with autism. So, the presentation
16 is totally nonspecific, and that is why the diagnosis
17 is usually not reached until it is too late.

18 The therapy consists of administration of
19 creatine that blocks the reaction of mild or moderate
20 protein restriction, and many times we give sodium
21 benzoate. All of this therapy can be found on the
22 internet. Most of the parents find them on websites
23 and obviously they are relatively inexpensive and easy
24 to obtain in addition of being pretty safe.

25 Now, a few children, I have heard, have been
26 treated since birth based on family history, and they
27 have done very well. And the same thing seems to be
28 happening to the two children identified by newborn
29 screening, keeping in mind that one of the two children
30 had an older sister who had GAMT Deficiency that had
31 not been diagnosed but was diagnosed after this child
32 was born, and she was started on therapy. The older
33 sister was already showing symptoms at a few months of
34 age. This child is perfectly normal and we continue to
35 think that she would be completely normal simply
36 because therapy is safe and effective.

1 Obviously, what we are doing, we need to
2 continue to follow this patient and just for your
3 information, the Association for Creatine Deficiency
4 has developed a registry for patients with this
5 condition, which will allow us to gather information on
6 the on the clinical course of all patients with
7 cerebral creatine deficiency.

8 But at the same time, we think that early
9 identification can really prevent long-term disability
10 and prevent irreversible brain damage. And for this
11 reason, I continue to strongly encourage expansion of
12 newborn screening to include GAMT Deficiency in the
13 panel. Thank you for your attention.

14 CYNTHIA POWELL: Thank you. Dr. Pasquali.

15 MARZIA PASQUALI: Thank you for allowing me to
16 speak about newborn screening for GAMT Deficiency. My
17 name is Marzia Pasquali. I'm a clinical biochemical
18 geneticist at the University of Utah, ARUP
19 Laboratories. My lab has developed and validated a
20 newborn screening for GAMT Deficiency and has
21 implemented the statewide screening. We also perform
22 many of our chemical genetic tests to diagnose and
23 monitor patients with metabolic disorders.

24 Today, I would like to address the feasibility
25 of newborn screening for GAMT Deficiency from the
26 laboratory point of view. GAMT screening is performed
27 by measuring creatine and guanidinoacetate in blood
28 spots using tandem mass spectrometry. This currently
29 is the standard methodology used in newborn screening
30 laboratories. Therefore, screening for GAMT Deficiency
31 can be easily integrated in the workflow of any labs.
32 It does not require additional samples,
33 instrumentation, or personnel and the additional cost
34 is minimal.

1 Laboratories may use derivatized or random
2 derivatized methods for screening. We have
3 demonstrated in our paper that is being published in
4 Molecular Genetics and Metabolism, that newborn
5 screening for GAMT Deficiency works with both methods.
6 Second-tier tests biochemical and/or molecular area
7 available and effective. Screening can be done
8 effectively even if you don't have availability for
9 second-tier test. You can modify your workflow and
10 perhaps request a repeat screen where results are
11 concerning or if you are in a state that generally
12 mandates two screens, you can look at the second
13 screen. All these approaches are described in our
14 manuscript have very low false positive rates and
15 therefore they don't cause an additional burden to
16 follow-up programs.

17 There are validated tests to biochemically
18 confirm or exclude the GAMT Deficiency once there is a
19 positive newborn screen result. Genetic testing is
20 routinely available and there are guidelines from the
21 Medical College of Medical Genetics and Genomics for
22 the laboratory diagnosis of this condition.

23 In summary, there are no technical barriers to
24 the implementation of the newborn screening for GAMT
25 Deficiency and this could be a very valuable addition
26 to the existing newborn screening panel. Thank you for
27 your attention.

28 CYNTHIA POWELL: Thank you. Hopefully, we've
29 been able to locate Becky and Stu Tribe. There you
30 are. Great. Yeah, we can hear you. Go ahead.

31 BECKY TRIBE: Okay. I might just give my
32 comment.

33 CYNTHIA POWELL: Yes, please go ahead.

34 BECKY TRIBE: All right. So, my name is Becky
35 Tribe. This is Woody Tribe. He's an 8-month-old

1 little baby boy and he had GAMT. So, Woody is meeting
2 all his milestones. He is thriving, and he's a pretty
3 average baby. But this almost wasn't the case. Sorry,
4 I just ran up the stairs. Anyway, so Woody was
5 supposed to be born in LA, but COVID brought our family
6 to Utah and we ended up having him here in Utah. So,
7 on December 4th, he was born here in Utah and after his
8 birth, he passed all his newborn tests with flying
9 colors and he was even allowed to leave the hospital
10 early because he looked super healthy and great. But
11 after being home for about three days, we had a call
12 from our pediatrician saying that something was flagged
13 on his newborn screening and that we would need to go
14 and get blood work done right away for Woody.

15 So, the blood work all came back positive and
16 we learned that Woody indeed had GAMT and that his body
17 was not producing creatine on its own. So, when he was
18 just a week old, the guanidinoacetate level in his
19 blood was already like three times the amount of an
20 average person. So, he needed to start supplements
21 right away.

22 So, we met with the team in Utah with Dr. Longo
23 and they started him on creatine right away. So, at
24 four months, Woody received a full developmental
25 assessment, and it came back that he was average and
26 normal in every area developmentally at four months and
27 he continues to reach his milestones and has been
28 pretty normal. So, the crazy thing though is that if
29 we had decided to stay in California and have Woody in
30 LA that his life would be drastically different. We
31 probably wouldn't have known that he had GAMT because
32 he would not have been screened at birth and who knows
33 at what age he would have been diagnosed, and the
34 toxins would have just basically built up in his brain,
35 and we wouldn't know anything until he started showing
36 symptoms and signs, and at that point, it would be too
37 late to remediate some of the brain damage that had

1 already been done. So, that's why it's super essential
2 for the newborn screening to be done at birth for
3 babies who have GAMT and they need to start treatment
4 right away to prevent any of that damage.

5 So, we are super, super grateful that Woody was
6 given newborn screening at birth here in Utah and that
7 he was diagnosed so young. Doctors have hope that
8 he'll live a really normal life aside from taking his
9 creatine every day. He takes creatine four to six
10 times a day and yeah, that helps regulate the
11 guanidinoacetate level in his brain.

12 So anyway, Woody's story is just proof that the
13 newborn screening is essential and that it works and
14 that when diagnosed from birth, that these babies can
15 start receiving the medications they need to have a
16 normal and productive life. So, we're super thankful
17 for that and we would just urge you to push GAMT
18 forward on moving forward on being put on the newborn
19 screening. Thank you so much for your time.

20 CYNTHIA POWELL: Thank you so much for sharing
21 your story and thanks for Woody -- thanks to Woody for
22 joining you today.

23 All right. We'll now move on to our other
24 public commenters. Well hear from Dr. Joanne
25 Kurtzberg, who will discuss Krabbe disease.

26 JOANNE KURTZBERG: Thank you. Can you hear me
27 okay?

28 CYNTHIA POWELL: Yes.

29 JOANNE KURTZBERG: Okay. Well, thank you for
30 giving me the opportunity to speak to the Committee
31 today. My name is Dr. Joanne Kurtzberg, and I'm the
32 Jerome Harris Distinguished Professor of Pediatrics and
33 a Professor of Pathology at the Duke University School
34 of Medicine. I'm also the Director of the Marcus

1 Center for Cellular Cures in the Carolinas Cord Blood
2 Bank at Duke. I trained in pediatric
3 hematology/oncology and started the Pediatric Blood and
4 Marrow Transplant Program at Duke in 1990. Over the
5 past twenty-seven years, my team and I have
6 transplanted over 360 infants and children with
7 leukodystrophies including 60 patients with Krabbe
8 disease. We are now developing an adjuvant cellular
9 therapy in an attempt to improve outcomes for patients
10 with Krabbe disease and related leukodystrophies.

11 On behalf of the Hunters Hope Foundation and
12 the Krabbe disease community at large, and as Dr.
13 Powell announced this morning, I'm very pleased to
14 inform you that on July 9, 2021, we resubmitted the
15 nomination form to add Krabbe disease to the RUSP.
16 Since the initial nomination of Krabbe disease to the
17 RUSP failed by a vote of 8 to 7 in 2010, the Hunters
18 Hope Foundation has created and worked closely with the
19 Krabbe Disease Newborn Screening Taskforce to improve
20 newborn screening methodology and outcomes. This
21 taskforce has systematically addressed and filled the
22 gaps identified by the Committee during the first
23 evidence review. In the next minute or so, I'll
24 highlight the progress made to address these gaps.

25 The first identified gap was lack of consensus
26 about case definitions, particularly for early
27 infantile Krabbe disease. Recent studies of the
28 natural history of Krabbe disease have provided the
29 basis of categorizing several forms of Krabbe disease
30 with limited overlap. This led to the definition of
31 Krabbe disease forms that could replace earlier
32 terminology, including infantile Krabbe disease. They
33 are infantile Krabbe disease, where there is onset of
34 irreversible and rapidly progressive symptoms before 12
35 months of age leading to death before the age of 2
36 years. Late infantile Krabbe disease where there's
37 onset of the irreversible and progressive symptoms

1 between 1-3 years of age. Juvenile Krabbe disease with
2 onset of progressive symptoms between 4 and 17 years of
3 age. And adult Krabbe disease, where there's onset of
4 progressive symptoms at 18 or more years of age.

5 The second identifiable gap was the lack of an
6 algorithm for screening and diagnosing Krabbe disease.
7 Perhaps the most impactful advance in Krabbe disease
8 newborn screening over the last decade has been the
9 ability to measure the biomarker psychosine in newborn
10 screening dried blood spots. Incorporating the
11 measurement of this biomarker as a second-tier test
12 into the screening algorithm virtually eliminates false
13 positive results while enabling rapid diagnosis and
14 treatment initiation for newborns with the infantile
15 Krabbe disease. Psychosine has also immensely improved
16 and simplified the identification of and followup
17 protocols for children at risk for later onset forms of
18 Krabbe disease. This has reduced the need for
19 monitoring with invasive neurophysiologic and
20 neuroimaging studies to predict the onset and
21 progression of the disease.

22 Guidelines have recently been established and
23 published to facilitate the diagnosis, monitoring, and
24 treatment initiation for both infantile Krabbe disease
25 and later onset forms. To further assist and help, we
26 also formed the Krabbe Disease Newborn Screening
27 Council, which meets monthly to provide newborn
28 screening and medical professionals in states screening
29 for Krabbe the opportunity to stay informed of best
30 practices and to facilitate the management of complex
31 cases including later onset Krabbe disease, patients
32 with mild to moderately elevated psychosine levels in
33 the absence of clinical symptoms of Krabbe disease and
34 genotypes known to be associated with the disease.

35 Finally, Krabbe disease can be added cost
36 effectively to the newborn screening programs already

1 screening for three conditions included on the RUSP,
2 Pompe disease, MPS I, and adrenal leukodystrophy.

3 The third gap that was identified was the need
4 for more information about treatment and relevant
5 genotypes. As mentioned above, guidelines to support
6 the efficient diagnosis, monitoring, and treatment
7 initiation have been published. Moreover, treatment
8 protocols for hematopoietic stem cell transplantation
9 have been further improved and gene therapy trials have
10 been recently begun. While molecular genetic analysis
11 of GALC can be helpful in the decision-making process
12 when a genotype is known to cause a specific Krabbe
13 disease variant is identified, psychosine has better
14 predictive value, especially when genotypes include
15 variants of uncertain significance, which is a frequent
16 occurrence.

17 Krabbe disease is devastating disease. Without
18 a newborn screening, children with the infantile
19 phenotype develop clinical symptoms as early as 2
20 months of age. As the disease rapidly progresses,
21 these infants lose previously achieved milestones,
22 cannot be fed by mouth, develop spasticity, blindness,
23 seizures, and most tragically are very irritable and in
24 extreme and constant pain. Many parents report that
25 during this stage of the disease, their child screams
26 inconsolably for up to 20 hours a day. Meanwhile,
27 parents search desperately for a diagnosis and once
28 established, it's too late for their symptomatic child
29 to undergo treatment beyond attempts at palliative care
30 until their death, which typically occurs by their
31 second birthday.

32 Newborn screening for Krabbe disease brings new
33 hope to this otherwise horrific disease. Currently,
34 nine states are screening for Krabbe disease with
35 additional states working towards its implementation.
36 Whenever possible, these programs are moving towards or

1 implementing the screening protocol recommended in our
2 submitted nomination form that detects virtually all
3 cases of infantile Krabbe disease and likely the vast
4 majority of individuals at risk for later onset forms
5 of the Krabbe disease.

6 There are also medical -- multiple medical
7 centers across the country able to treat affected
8 newborns with hematopoietic cells -- stem cell
9 transplantation, ensuring that treatment is accessible
10 to all who need it.

11 Children with infantile Krabbe disease
12 identified through newborn screening are able to
13 undergo transplant within their first weeks of life.
14 These children live for decades and can move
15 independently, attend school, play, laugh, eat, speak,
16 and most importantly, they're alive and active members
17 of their families.

18 Clinical trials for additional treatment
19 options for Krabbe disease are underway, making the
20 future for children identified through newborn
21 screening more hopeful than ever before.

22 After fifteen years of newborn screening for
23 Krabbe disease and nearly 30 percent of US newborns now
24 being screened for Krabbe disease annually, we firmly
25 believe that it's time to add Krabbe disease to the
26 RUSP using the effective and efficient screening
27 approach outlined in the recently submitted nomination
28 package. The consequence will be equitable access to
29 timely and lifesaving treatment for every child in the
30 United States with Krabbe disease while minimizing the
31 negative impact of false positive results.

32 We look forward to your review of our
33 nomination package and sincerely thank you for the
34 opportunity to share this information today. Thank
35 you.

1 CYNTHIA POWELL: Thank you, Dr. Kurtzberg.
2 We'll next hear from Elisa Seeger.

3 ELISA SEEGER: Hi. My name is Elisa Seeger and
4 I'm -- oh, sorry -- the founder of the ALD Alliance. I
5 started the ALD Alliance after losing my son Aiden to
6 ALD in 2012 and I want to than the Committee for having
7 ALD added in 2016.

8 Dear Chairwoman Powell and members of the
9 Advisory Committee for Heritable Disorders in Newborns
10 and Children. On behalf of the over 30 million
11 Americans living with rare disease and as co-chair of
12 the EveryLife Foundation's Newborn Screening and
13 Diagnostics Working Group, I am pleased to offer the
14 following comments to inform the Advisory Committee's
15 ongoing conversations about the review process for new
16 RUSP nomination packages.

17 The EveryLife Foundation for Rare Diseases is a
18 nonprofit, nonpartisan organization dedicated to
19 empowering the rare disease patient community to
20 advocate for impactful science-driven legislation and
21 policy that advances the equitable development of and
22 access to life-saving diagnoses, treatments, and cures.

23 Community Congress is a forum for collaboration
24 across stakeholders, representing over two hundred
25 individual rare disease patient advocacy organizations
26 in addition to over ninety other health care and
27 biotechnology organizations. Our Newborn Screening and
28 Diagnostics Working Group is dedicated to ensuring that
29 the rare disease community receives the earliest
30 possible access to lifesaving diagnostic opportunities
31 through newborn screening and other diagnostic tools.

32 We understand that the periodic evaluation of
33 the RUSP nomination process is necessary to ensure that
34 standards are current and rigorous. We appreciate that
35 the Advisory Committee sought out input from the

1 patient community multiple times during its review
2 process and are continuing that practice today.

3 Our communities worked for many years with
4 relevant partners and experts to develop a newborn
5 screening system that includes the dried blood spot
6 screening, confirmatory testing, educational materials,
7 and followup infrastructure for our respective disease
8 communities.

9 This requires investment in developing care
10 standards and screening tools, conducting pilots, and
11 then leading the compilation of a nomination package
12 that meets the evidentiary requirements for the RUSP.

13 To inform their activities, we offer the
14 following comments.

15 On the condition nomination form, what
16 additional information would better inform the
17 Committee? Recognizing this significant workload of
18 the Advisory Committee and the pipeline of conditions
19 that may be nominated to the Committee in the near
20 term, we urge you to consider the following
21 recommendations for additional information on the
22 condition nomination form.

23 The assessment of the benefit of screening for
24 new conditions should accept a degree of uncertainty
25 regarding the amount of data available following the
26 approval of a treatment or the availability of an
27 intervention and include all sources of information
28 such as patient community insights. Parallels can be
29 drawn from the review and regulation of treatments
30 where FDA weighs such factors in order to speed the
31 availability of new treatments for serious or life-
32 threatening diseases to address unmet medical needs.

33 The use of long-term data plays a vital role in
34 understanding the potential impact of conditions being
35 considered for RUSP nomination. The use of long-term

1 newborn screening data can help to close health equity
2 gaps, improve health outcomes, and form earlier
3 clinical care guidelines, and improve important data to
4 guide health policy.

5 The creation of a central database for review
6 of long-term data would provide the Advisory Committee
7 the ability to track incoming data for conditions
8 planning to submit a RUSP package.

9 Many patient organizations are leading
10 longitudinal data collection efforts within adjacent
11 ecosystems and would be critical and eager partners in
12 this endeavor.

13 What information is difficult to obtain? If a
14 child's disease is not picked up via newborn screening,
15 they often go years without an accurate diagnosis.
16 Opportunities to study a treatment or intervention in
17 infants and young children are limited as a result. In
18 the absence of early detection, it is challenging to
19 obtain data for certain decision-making criteria
20 requested to demonstrate the benefit of earlier
21 diagnosis. That same data is often required when
22 submitting a RUSP nomination form.

23 Successful RUSP nominations require prospective
24 population-based pilots that may cost millions of
25 dollars and take several years to complete. It may not
26 be feasible for many patient organizations to shoulder
27 the financial responsibility of building a framework
28 for a newborn screening pilot.

29 Other diseases are so rare that conducting a
30 state pilot that satisfies existing decision-making
31 criteria may not be feasible.

32 The same challenges associated with developing
33 a treatment for rare disease will exist when assessing
34 the benefit of newborn screening. Disease rarity,
35 heterogeneity, and other disease-specific

1 considerations may impact the ability to assess the
2 benefit of newborn screening within a population.

3 The decision matrix is a tool to assist the
4 Committee in making decisions. Are there suggestions
5 for additions or edits on the decision matrix?

6 When approving a condition, the Committee must
7 consider that not all rare diseases will follow the
8 same trajectory. Some diseases, when left untreated,
9 may result in death within the first 5 years of life.
10 Many other rare diseases are progressive and equally
11 devastating with irreversible decline beginning early
12 in life. In such cases, clinical outcomes may take
13 years to measure and newborn screening provides a
14 gateway to improve current treatments and develop new
15 ones that will stop at decline.

16 We urge the Committee to update the decision
17 matrix to account for the variability in disease
18 trajectory when considering the benefits of newborn
19 screening. We appreciate that the COVID-19 pandemic
20 has placed even greater demands on the precious
21 commodities of time and resources on our newborn
22 screening leaders and we are especially grateful for
23 your unwavering dedication to our rare disease patient
24 communities. The EveryLife Foundation and the
25 membership of our Community Congress Newborn Screening
26 and Diagnostics Working Group stand ready to support
27 your work and we look forward to engaging with you over
28 the next several months. Thank you for the time.

29 CYNTHIA POWELL: Thanks very much.

30 We'll next hear from Dean Suhr from the MLD
31 Foundation.

32 DEAN SUHR: Good afternoon, Dr. Powell and
33 Committee Members. Thank you always -- as always for
34 your hard work on behalf of those families with
35 disorders detected. We'd also like to thank the

1 Committee for the extensive and informed process you
2 are undertaking to review the current RUSP review
3 process and the last two years of effort.

4 We just heard a great summary and
5 recommendations from EAP. Thank you, Dr. Kemper, and
6 that team for your thorough work.

7 We would like to acknowledge EveryLife
8 Foundation, the Rare Disease Community Congress,
9 Newborn Screening and Diagnostics Working Group's
10 statement and we're in full support of the comments
11 they just submitted, and we're pleased to have actively
12 participated in this process.

13 But we feel we must highlight that as the
14 Advisory Committee keenly focuses on the thorough
15 evidence-based review using a structured process with a
16 high bar, the clock keeps clicking, advocacy gathers
17 data through pilot studies, lab defer implementing new
18 screens, millions of babies are not screened, babies
19 miss out on available therapies, and many babies are
20 significantly disabled and die. Emerging and approved
21 therapies are of no value if they're not accessible and
22 if patients are not identified in a timely fashion.
23 Newborn screening is a critical part of that
24 identification.

25 What Dr. Powell and Dr. Kemper just shared is
26 ACHDNC 2.0. What I'm sharing, perhaps, is 2.1 or 3.0,
27 i.e. we're already talking about considering the next
28 generation of potential systemic changes while you're
29 just now considering and digesting the last two years
30 of work. We believe this is required to address the
31 reality of the tsunami of new conditions and therapies
32 that are forthcoming. We need these discussions and
33 creative considerations to start now.

1 Dr. Shone, I think, opened the door to this as
2 well. These comments were written before we knew what
3 the information was this morning.

4 Over the last decade, the FDA has been learning
5 how to maintain their high standards while
6 incorporating the patient voice and better
7 understanding the unique needs, tolerances, and
8 priorities of each disease community. We ask the
9 Committee to consider how they can maintain their high
10 standards while adapting to these same uniqueness and
11 priorities when considering review of a nomination.

12 Further, we urge you to consider how committee
13 reviews might be redesigned without sacrificing quality
14 to address the historically decades long serial process
15 of research, clinical trials, FDA approval, RUSP
16 nomination, and then finally public health
17 implementation.

18 We believe the near-term impact on babies can
19 be greatly improved if the new nomination starts with a
20 high value of baseline data, undergoes thorough
21 Committee review, and then we jointly determine the
22 safest and most effective way to implement broad public
23 screening with the knowledge that there might be
24 uncertainties and risks that can be reduced and managed
25 as we continually learn, improve, and adapt over time.
26 This approach requires near- and long-term followup and
27 data to continually improve the system.

28 COVID has taught us we can save hundreds, if
29 not thousands and millions of lives, by being proactive
30 and using informed emergency vaccine approvals and then
31 moving to full vaccine approvals. We're not suggesting
32 emergency approval for newborn screening, but this sort
33 of continued improvement has proven to be an effective
34 and efficient mechanism.

1 Do remember that newborn screening is a key and
2 timely diagnostic step, not an irreversible therapy.
3 There will not be too many babies identified in the
4 first year or two of an expedited new screening that
5 increased diagnostic and therapeutic decision-making
6 oversight cannot be incorporated during this time
7 frame; i.e. we can learn as we go.

8 In the MLD community, there's a phrase; time is
9 neurons; once lost, it cannot be restores. There are
10 an estimated one hundred babies born per year in the US
11 with MLD. Stem cell transplant has been proven to be
12 an effective therapy for some pre-symptomatic MLD
13 patients and some lucky babies who have older -- and
14 lucky I say in quotes -- who have older siblings with
15 MLD accessing MLD gene therapy, which has been approved
16 by the AMA last year. They are accessing it through a
17 compassionate-use program here in the US, while
18 biopharma is actively engaged with the FDA for US
19 review and approval.

20 As part of refining the current MLD newborn
21 screening, we've screened over 100,000 babies here in
22 the USA. The biochemistry and genomics on newborn
23 blood spots have proven the screening works. In fact,
24 they found two babies. But this data was not gathered
25 on identified babies. So, that block on the nomination
26 form remains blank, while we ramp new pilots and
27 essentially start over to regather data.

28 Our current pilot programs might only identify
29 one or two of these sick children each year while we
30 undergo the Advisory Committee nomination review
31 process. The rest of these children will not be
32 diagnosed and will die from MLD while our nomination
33 data gathering is underway.

34 MLD Foundation would be willing to have a very
35 serious discussion with the Committee or one of the
36 subcommittees on behalf of the existing and to-be MLD

1 community to show how we might be a prototype to design
2 and test new paradigms for RUSP approval if it will
3 satisfy the Committee's high bar for volume and
4 confidence in data while balancing and incorporating
5 the risks, priorities, needs, and lives of the babies
6 and their families.

7 A quick comment about the RUSP Round Table, an
8 initiative that we launched in 2015. It is disease
9 agnostic and looks at the entire newborn screening
10 ecosystem. The goal of the RUSP Round Table is to
11 create an open, well-informed space to share
12 perspectives and insights from key experts in the
13 newborn screening space, expand the common knowledge
14 base, and identify opportunities for both coalition
15 building and collaborations across sectors to innovate
16 and accelerate programs to make newborn screening more
17 robust and equitable.

18 We've been on hiatus while the Advisory
19 Committee has been meeting virtually. However, in
20 anticipation of meeting again in person in early 2022,
21 we'll be meeting virtually in November to regroup and
22 refocus our efforts, and what the Committee has shared
23 today will be part of the fodder for that discussion.
24 You can learn more about that at rusproundtable.org.

25 Again, thank you for your ongoing hard work in
26 this area of public health that's so critical to over 4
27 million US babies born per year and informs the
28 screening for millions of others in other countries.
29 Thank you.

30 CYNTHIA POWELL: Thank you. Finally, we'll
31 hear from Liesl Broadbridge from the EveryLife
32 Foundation for Rare Diseases.

33 LIESL BROADBRIDGE: Hi everyone. My name is
34 Liesl Broadbridge and I'm the policy fellow for the
35 EveryLife Foundation for Rare Diseases. On behalf of

1 the EveryLife Foundation, I would like to thank the
2 Committee for providing us with the opportunity to
3 present comments here today.

4 EveryLife's Newborn Screening Initiative is
5 focused on ensuring babies receive lifesaving treatment
6 opportunities through early diagnosis with newborn
7 screening.

8 This year, our foundation's newborn screening
9 policy work has continued to focus on efforts to align
10 federal RUSP recommendations with state implementation
11 and to support stakeholders' preparation for RUSP
12 nomination through capacity-building efforts.

13 With respect to our RUSP alignment legislation,
14 I'm pleased to share that with broad legislative and
15 executive support this spring, the governors of
16 Georgia, Ohio, and Arizona have signed into law
17 legislation that will require the states to screen
18 newborn babies for any disorder on the RUSP.

19 In addition, North Carolina's House of
20 Representatives passed similar legislation in May and
21 is now pending Senate action. We are in the planning
22 phase for 2022 state efforts and look forward to
23 working with the community to enact additional
24 lifesaving legislation next year.

25 With respect to supporting stakeholder
26 engagement and capacity-building, the EveryLife
27 Foundation is again delighted to partner with Expecting
28 Health to host the 3rd Annual Newborn Screening Boot
29 Camp this fall.

30 Our virtual program will again provide
31 resources and unique opportunities for cross-sector
32 engagement with community stakeholders about the
33 overall newborn screening system, the RUSP review
34 process, opportunities for addressing racial inequities
35 within newborn screening, and much more. We appreciate

1 the time and dedication of the expert speakers and
2 community members who will be part of this event.

3 As you heard previously, the foundation is
4 proud to serve as the convener of the Community
5 Congress Newborn Screening Working Group in addition to
6 comments you heard from Ms. Elisa Seeger today, we
7 would like to share that our membership urges continued
8 emphasis and attention to the resources and
9 communication efforts that will be necessary to help
10 relay updates from the Committee's condition
11 nomination, evidence review, and decision-making
12 processes.

13 As you know and we've already discussed today,
14 revisions to the evidence review process will impact
15 stakeholders across the newborn screening system and
16 changes to data requirements will impact the design of
17 studies conducted for a RUSP nomination package and any
18 review of current RUSP conditions will require
19 additional oversight and data reporting for state
20 newborn screening programs.

21 For these reasons, we suggest that the Advisory
22 Committee create a suite of educational materials for
23 newborn screening stakeholders identifying changes to
24 the evidence review process and how those changes will
25 impact specific components of the newborn screening
26 system. And to accomplish these educational goals, we
27 encourage the establishment of a multi-stakeholder
28 working group including representatives from the
29 patient community to help inform the development and
30 dissemination of these materials.

31 Thank you again to the Advisory Committee for
32 your tireless efforts on behalf of our nation's
33 newborns. We are encouraged by all of the great work
34 that is occurring within the newborn screening space,
35 and we look forward to continuing to help advocates

1 effectively navigate and engage with the newborn
2 screening community. Thank you.

3 CYNTHIA POWELL: Thank you. And thank you to
4 all members of the public for taking time to provide
5 your comments to the Committee.

6 We'll now take about a 19-minute break and
7 reconvene at 1:20 Eastern time -- that's 1:20 Eastern
8 time. Thank you.

9 **BREAK**

10 CYNTHIA POWELL: Welcome back. I think we're
11 ready to get started again. Before we reconvene and
12 begin the Nomination and Prioritization Work Group
13 Summary of the GAMT Deficiency Nomination Package, I
14 will take attendance again.

15 From the Agency for Health Care Research and
16 Quality, Kamala Mistry.

17 KAMILA MISTRY: Here.

18 CYNTHIA POWELL: Mei Baker.

19 MEI BAKER: Here.

20 CYNTHIA POWELL: Jeff Brosco.

21 Kyle Brothers.

22 KYLE BROTHERS: Here.

23 CYNTHIA POWELL: Jane DeLuca.

24 JANE DELUCA: Here.

25 CYNTHIA POWELL: Representing the Centers for
26 Disease Control and Prevention, Carla Cuthbert.

27 CARLA CUTHBERT: I'm here.

28 CYNTHIA POWELL: Representing the Food and Drug
29 Administration, Kellie Kelm.

30 KELLIE KELM: Here.

1 CYNTHIA POWELL: Representing HRSA, Michael
2 Warren.

3 MICHAEL WARREN: Here.

4 CYNTHIA POWELL: Shawn McCandless.

5 SHAWN MCCANDLESS: Here.

6 CYNTHIA POWELL: Representing the NIH, Melissa
7 Parisi.

8 MELISSA PARISI: Here.

9 CYNTHIA POWELL: I'm here. Annamarie Saarinen.

10 ANNAMARIE SAARINEN: Here.

11 CYNTHIA POWELL: And Scott Shone.

12 SCOTT SHONE: Here.

13 CYNTHIA POWELL: For our organizational
14 representatives, from the American Academy of Family
15 Physicians, Robert Ostrander.

16 ROBERT OSTRANDER: Here.

17 CYNTHIA POWELL: The American Academy of
18 Pediatrics, Debra Freedenberg.

19 DEBRA FREEDENBERG: Here.

20 CYNTHIA POWELL: American College of Medical
21 Genetics, Maximilian Muenke. American College of
22 OB/GYN, Steven Ralston. Association of Maternal and
23 Child Health Programs, Jed Miller.

24 JED MILLER: Here.

25 CYNTHIA POWELL: Association of Public Health
26 Laboratories, Susan Tanksley.

27 SUSAN TANKSLEY: Here.

28 CYNTHIA POWELL: Association of State and
29 Territorial Health Officials, Chris Kus.

1 CHRISTOPHER KUS: Here.

2 CYNTHIA POWELL: Association of Women's Health,
3 Obstetric, and Neonatal Nurses, Shakira Henderson.
4 Child Neurology Society, Jennifer Kwon.

5 JENNIFER KWON: Here.

6 CYNTHIA POWELL: Department of Defense, Jacob
7 Hogue.

8 JACOB HOGUE: Here.

9 CYNTHIA POWELL: Genetic Alliance, Natasha
10 Bonhomme.

11 NATASHA BONHOMME: Here.

12 CYNTHIA POWELL: March of Dimes, Siobhan Dolan.

13 SIOBHAN DOLAN: Here.

14 CYNTHIA POWELL: National Society of Genetic
15 Counselors, Cate Walsh Vockley.

16 CATE WALSH VOCKLEY: Here.

17 CYNTHIA POWELL: And the Society of Inherited
18 Metabolic Disorders, Gerry Berry.

19 GERARD BERRY: Here.

20 CYNTHIA POWELL: Thank you.

21 The Committee received a nomination to include
22 Guanidinoacetate Methyltransferase Deficiency to the
23 Recommended Uniform Screening Panel. In terms of the
24 nomination process, the first step is for HRSA to
25 conduct the initial review for completeness. After
26 it's been determined that the nomination package has
27 all of the required components, the Nomination and
28 Prioritization Work Group reviews the information
29 submitted in the package and provides the Committee
30 with a summary and recommendation as to whether or not
31 the condition ought to move forward to a full evidence

1 review. The Committee will then vote to assign or not
2 assign the nomination condition to the External
3 Evidence Review Group that conducts the evidence-based
4 review. GAMT deficiency has been nominated again for
5 inclusion on the RUSP. Next slide.

6 The last time it was nominated in 2016, the
7 Committee voted not to move GAMT deficiency forward to
8 full evidence review. Nominators were informed that
9 while the Committee recognized that GAMT deficiency is
10 a "medically important disorder that deserves serious
11 consideration," the Committee's decision to not send
12 the nomination forward for evidence review was "based
13 primarily on the determination that the analytic
14 validity of the screening test had not yet been
15 determined, in part because no cases had been
16 identified prospectively through newborn screening."

17 Today, on behalf of the Nomination and
18 Prioritization Work Group, ex-officio Committee Member,
19 Dr. Carla Cuthbert, will present the summary and work
20 group recommendation to the Committee. Next slide.

21 Dr. Cuthbert will review this in her
22 presentation, but I would like to remind the Committee
23 that at this phase of the nomination process, there are
24 three core requirements for a condition to be
25 considered in addition to the information requested on
26 the nomination form. The validation of the laboratory
27 test, that there's widely available confirmatory
28 testing with a sensitive and specific diagnostic test,
29 and finally, that there has been a prospective
30 population-based pilot study.

31 By way of introduction, Dr. Cuthbert is the ex-
32 officio member from the Centers for Disease Control and
33 Prevention, where she is the Chief of the Newborn
34 Screening and Molecular Biology Branch in the Division
35 of Laboratory Sciences, National Center for
36 Environmental Health. She has held this position since

1 December 2009. Dr. Cuthbert gives leadership and
2 oversight to the Newborn Screening and Molecular
3 Biology Branch, a branch that comprises several
4 laboratories, which support newborn screening programs
5 by providing quality assurance materials, public health
6 service, and technical expertise, test development, and
7 translational research activities. I would now like to
8 turn it over to Dr. Cuthbert.

9 **GUANIDINOACETATE METHYLTRANSFERASE (GAMT) DEFICIENCY**
10 **NOMINATION SUMMARY**

11 CARLA CUTHBERT: Thank you very much Dr.
12 Powell. It's a pleasure to be able to speak on behalf
13 of the Nomination and Prioritization Work Group and to
14 present our findings as a result of the package that
15 was submitted to us.

16 The work group comprises the individuals that
17 you see on the bottom left and again, I'd be happy to
18 present our discussions and deliberations. Next slide.
19 Next slide, please. Thank you.

20 So, the nominators, we've heard from them
21 today, so Dr. Nicola Longo is the nominator, the co-
22 sponsoring organization is Dr. Marzia Pasquali from the
23 University of Utah and ARUP Laboratories, and the
24 advocate organization associated with this nomination
25 is the Association for Creatine Deficiency. Next
26 slide, please.

27 The Creatine Synthetic Pathway is shown on the
28 right of this particular screen. I hope that you can
29 see it clearly. Essentially, Guanidinoacetate
30 Methyltransferase or GAMT is one of the enzymes
31 involved in the synthetic pathway for creatine. It
32 first starts off with an enzyme called AGAT or the L-
33 arginine glycine amidinotransferase enzyme that
34 transfers an amidino group from arginine to glycine to
35 form the guanidinoacetate.

1 GAMT, the enzyme methylates guanidinoacetate to
2 form creatine and creatine can be taken up by tissues
3 through the creatine transporter and creatine within
4 many of these tissues can function to help regenerate
5 ATP and ADP from ADB in tissues that have really high
6 energy requirements. So, it plays a very significant
7 functional role there and it's also -- it also
8 functions as a neurotransmitter in the CNS.

9 Of note, it's very important to know that about
10 half of the creatine in the body is derived from this
11 synthetic pathway, and the other half is derived from
12 dietary sources such as meat and fish. Next slide.

13 So, in terms of the biochemical derangement in
14 GAMT deficiency, mutations -- either homozygous
15 mutations or compound heterozygous mutations in the
16 GAMT gene can result in GAMT deficiency. Again, this
17 is one member of a three-member family of cerebral
18 creatine deficiencies and the pathophysiology that is
19 observed with this particular condition, especially the
20 biochemical phenotype, results in a reduction in
21 creatine and marked increase in the neurotoxic
22 guanidinoacetate.

23 If we look at both plasma and urine, GAA is
24 elevated in both the plasma and in the urine. Creatine
25 is decreased in plasma and it could be anywhere between
26 the normal range or reduced in urine. The ratios for
27 GAA over creatine are generally elevated in patients
28 that have this disorder. Next slide.

29 As far as the clinical presentation, the onset
30 of patients with this disorder occurs anywhere from the
31 first few months to the first few years of life, and
32 the clinical presentation we heard from Dr. Nicola
33 Longo involves a number of -- of clinical features such
34 as cognitive impairment, developmental delay, and
35 speech delays, hypotonia. Some of the patients will
36 experience seizures of varying degrees of severity,

1 movement disorders, and various behavioral
2 abnormalities, which can include autism spectrum
3 abnormalities as well as auto-aggressive behavior.
4 Next slide.

5 In terms of management or treatment rationale
6 for patients who have this disorder, there are two
7 significant approaches that are used. The first is to
8 restore the creatine pool. So, if you've got a blot
9 with GAMT, you really want to be able to take a look at
10 what's reduced, which is the creatine supplement
11 through higher doses to be able to increase the amount
12 of creatine available and also supplementation of S-
13 adenosylmethionine as well.

14 In addition, we want to be able to reduce the
15 accumulation of guanidinoacetate and we can do this by
16 reducing -- sorry, by increasing or supplementing with
17 ornithine and reducing arginine and also by adding
18 sodium benzoate, which can function to bind and help
19 with the excretion of any glycine that accumulates in
20 this disorder. Next slide.

21 So, the core requirement, as Cynthia indicated,
22 was that we need to have some appropriate clinical
23 tests, laboratory screening tests. There needs to be
24 some sort of diagnostic test available and really
25 what's very, very critical is that we need to have a
26 population-based study or some -- some kind of routine
27 screening activity. Next slide, please.

28 Today, we're going to evaluate these questions
29 here. There are eight questions that are used to
30 further identify, again, the suitability of this
31 package for moving it forward. You've probably seen
32 this slide before. We discussed this when we were
33 addressing MPS II in May. So, essentially, we really
34 want to know if the condition is medical serious. Is
35 there case definition? We want to understand about the
36 prospective pilot and pilot data. We want to make sure

1 that the screening test has appropriate analytic
2 validity and determine whether or not the
3 characteristics of the screening test are appropriate
4 for the high throughput newborn screening system that
5 we've got with particularly a low rate of false
6 negatives.

7 We want to ensure that there is some kind of
8 diagnostic confirmatory testing process, there is --
9 there are appropriate treatment and management
10 approaches for the newborns that would be identified
11 with this condition, and we want to understand the
12 clinical utilities. So, after we've identified the
13 newborn, will -- will there be benefit as a result of
14 the intervention that we will be -- that will be --
15 that the child will undergo. Next slide.

16 So, we're going to go through these one by one.
17 So, the first question is, is the nominated condition
18 medically serious. I think I had a bit of help from
19 some of our -- the individuals who spoke during the
20 public session, but yes. The nominated condition is
21 indeed very medically serious. It is a health
22 condition with a very high risk of morbidity that will
23 negatively impact daily function and quality of life
24 and I just went over the clinical presentation earlier
25 and again, these are -- appears to be nonspecific. So,
26 again, the point is that it may not be entirely
27 apparent when these -- when this presentation -- when
28 these newborns or children have this presentation to be
29 able to do this testing. So -- so, it is medically
30 serious. Next slide, please.

31 Number two, is the case definition and the
32 spectrum of the conditions -- condition well described
33 to help predict the phenotypic range of the children
34 who will be identified based on population-based
35 screening. And again, the answer here is yes. This is
36 an autosomal recessive inborn error of creatine

1 synthesis. The clinical presentation reflects the
2 importance of creatine in the central nervous system.
3 We just discussed about clinical features and the
4 cognitive impairment, the developmental delay, and so
5 on that these children will have. And so, again, both
6 of our chemical and the clinical features have been
7 described in various papers.

8 We, as part of our deliberation and discussion,
9 did note as we would expect with other newborn
10 screening conditions, that GAMT is a very rare
11 condition. So, while we do have a very good
12 understanding of the clinical and biochemical features,
13 the full spectrum of the phenotypic presentation will
14 become more evident the more the newborn screening
15 becomes more widespread. So, the point is, we still
16 have much that we can learn as a result of the impact
17 of newborn screening scenarios.

18 So, yes, and again one of the points that we
19 did bring up as well is that is it possible that there
20 are older patients who have some of these nonspecific
21 clinical presentations who remain undiagnosed that are
22 part of our population and that have gone undiagnosed.
23 So -- so, this is a question that we did ask as well.
24 So, we do have much to learn, yet we do have a very
25 good understanding of how these kids will present.
26 Next slide, please.

27 So, the third question is are there prospective
28 pilot data from population-based assessments available
29 for this disorder. In this particular case, the
30 ongoing population-wide screening activities are not
31 pilots. They are actually incorporated as part of
32 routine testing for two states and two programs in two
33 other countries. So, in the states of Utah and New
34 York, these are routine population screening activities
35 that began in 2015 and 2018 respectively. In British
36 Columbia and Canada, screening began in 2012 and in

1 Victoria, Australia, about twenty years ago they have
2 been screening for this condition as well. So again,
3 these are -- and again, they -- they do have very
4 similar testing approach. You -- we have the number of
5 newborns that are screened here and again, it was
6 mentioned in the public time that we do have two
7 positive newborn -- two newborns that were identified
8 in Utah and New York.

9 Just of note, I did check in with our
10 colleagues from British Columbia and Victoria, and they
11 have confirmed that they have not yet identified a
12 newborn with this particular condition. In my
13 conversation with Dr. James Pitts from Victoria, again,
14 he said he does not have any explanation as to why he's
15 never -- they have never identified a case. I don't
16 believe that they will stop screening. It's -- it
17 continues to run attached to the amino acid
18 acylcarnitine test platform, and it does not have a
19 significant number of false positives attached to it.
20 So, they -- they are happy to continue with -- with
21 testing.

22 So, again, there is data associated here and I
23 just again wanted to make sure that you guys saw that.
24 Next slide.

25 With respect to the two cases -- the two
26 newborns that were identified positively with GAMT
27 deficiency, these next two slides will just briefly
28 describe some of the data associated with that. Here
29 we have the Utah case -- and again, we were privileged
30 enough to hear from, I believe, Mom Becky -- I didn't
31 catch your name, Becky -- but this is about the newborn
32 that was identified here. What you're seeing here in
33 the left-hand panel are the newborn screening and
34 diagnostic results on the left. So, Utah, for a period
35 of time between June 2015 and May 2019, used a
36 derivatized newborn screening testing approach and they

1 eventually moved to a nonderivatized newborn testing
2 approach, and these two rows just show the data
3 associated with the total number of newborns tested in
4 their state during that period of time. It's a two-
5 screen state. So, they did have -- they do have a
6 normal range for what's expected for the
7 guanidinoacetate or GUAC here and the CRE is the
8 creatine that was evaluated. And so, we have that for
9 the first- and second-tier -- the second routine tests
10 and of note, with the patients that tested positive, we
11 can see that the concentration of the guanidinoacetate
12 was markedly elevated in both of the testing samples at
13 13.25 and at 9.26 and the creatinine again in this
14 particular case you may not see it being too
15 significantly reduced -- it was a little bit more
16 reduced on the second sample. But when we went to --
17 when they went to the diagnostic follow-up, I think it
18 was a little bit more evident there that the
19 guanidinoacetate in plasma was elevated at 9.16 with a
20 normal range having an upper limit of 1.8 and the
21 creatinine was significantly reduced with normal range
22 as shown here from 37-117.

23 Management and outcome for this newborn therapy
24 was started on day 11 and again, as was indicated in
25 terms of the clinical management, creatinine and
26 ornithine supplementation was given, sodium benzoate
27 was -- was given as well to remove glycine, and then
28 there was moderate protein restriction as well.

29 With the current status of the newborn, I think
30 that we actually saw baby Woody. So, he remains
31 normal. He is growing and developing well and
32 tolerating his therapies. Next slide, please.

33 In a similar vein, we have some information
34 about the New York newborn that tested positive. New
35 York is a single-screen state. We have here an
36 indication that they screen for just over half a

1 million newborns during the period of time from October
2 2018 to the time of the writing of the manuscript here
3 and again, for the patient that screened positive, the
4 guanidinoacetate was 23 with the references ranges you
5 see here.

6 The diagnostic follow-up again showed a marked
7 elevation in plasma levels of guanidinoacetate and
8 reductions in the creatine in the plasma as well.

9 In a very similar manner, management and
10 outcome were very, very similar to the Utah newborn and
11 in this particular case as well, this newborn is
12 tolerating therapy well, growing and developing
13 normally. Next slide, please.

14 So, does the -- question number four is does
15 the screening test have established analytical
16 validity. And I just wanted to again point out that in
17 terms of screening tests, the primary newborn screening
18 assay essentially could be multiplexed with the amino
19 acid and acylcarnitine analysis. It could be
20 derivatized or nonderivatized. At this point in time,
21 there is no FDA-approved kit. So, all programs that
22 are interested in bring this up would have to develop
23 laboratory-developed tests with the appropriate
24 biomarkers.

25 A second-tier test involves liquid
26 chromatography involved so that they could separate out
27 any -- any interference if there is one. But again,
28 this is another approach for being able to detect
29 guanidinoacetate and creatinine. It can be a
30 standalone test or multiplexed with other second-tier
31 markers. And, of course, sequencing is also available
32 for these programs to detect the mutation. Next slide,
33 please.

34 Oh, yes. And so, the answer to this question,
35 does it have established analytic validity, the answer

1 is yes. When we reviewed the performance measures, we
2 found that it did demonstrate acceptable levels of
3 analytic validity for both of the programs. Next
4 slide.

5 Question number five is are the characteristics
6 of the screening test reasonable for the newborn
7 screening system, among other aspects, is there a low
8 rate of false negatives. So, again, the biomarkers can
9 be multiplexed. As I've indicated before, there are
10 second-tier tests available to reduce false positives.
11 And in speaking to all of the programs, they have all
12 indicated there are no known false negatives that have
13 been identified in their programs. All of them have
14 essentially had -- they have a close relationship with
15 the metabolic programs within their respective states
16 or provinces so that if there is a clinically
17 ascertained individual with this particular condition,
18 they would be made aware of this so that they would
19 understand and to date, there has not been a false
20 negative case identified.

21 So, again, another question is given the
22 nonspecific clinical features, is there a level of
23 certainty that you would be made aware of all of the
24 missed cases. I think Dr. Nicola Longo again pointed
25 out to the -- to the fact that these -- there are
26 nonspecific features. So, unless a clinician has --
27 follows up on this case to do the appropriate testing,
28 again, you may miss it. But that does not apply here.
29 We're talking about having screened -- will you -- are
30 there any cases that are false negatives, and to our
31 knowledge, the answer is no. Next slide.

32 So, when we were looking at some of the data
33 associated with the characteristics of this screening
34 test, we took a look at the -- the performance -- some
35 of the performance characteristics from the Utah
36 program together with the New York program and one of

1 the things that drew our attention was the number of
2 screen-positive cases for New York. Again, Utah has --
3 is a two-screen state. So, they -- they have been --
4 based on their data, the total number of false
5 positives are really low. But, you know, the high
6 number of referrals here did cause us to ask the
7 question about whether or not there was some underlying
8 reason for the high number of positive cases in New
9 York, whether or not there was an interference. And as
10 part of our deliberations about time, we found that
11 that was unclear and again, if this moves towards
12 evidence review, it would be very helpful to get
13 clarification on that information.

14 We have since had an opportunity to follow-up
15 with New York, and if you go the next slide, please.

16 We did find out from New York that -- that
17 there was an interference and during, I believe it was
18 during 2019, I believe, they made some modifications to
19 their existing test because of the number of screen
20 positives to eliminate an interference that they had
21 identified and I just in the left table that you see
22 here identified in red, the product ion that was used
23 in the assay was modified for the guanidinoacetate. It
24 was modified from 101.1 to 73.1 and the internal
25 standard was as well and with that modification, they
26 did some testing to evaluate the change and to make
27 sure that none of the other biomarkers that they were
28 testing in this multiplexed assay were impacted. They
29 validated the procedure based on that change and the
30 revised method was implemented into routine testing in
31 2020.

32 And what we have on the right part of the panel
33 here is just an indication of the performance of the --
34 of the -- just the number of parameters when you looked
35 at the original method versus the revised method. And
36 they looked at similar periods of time in those two

1 years, and you can see that the total number of samples
2 screened were fairly similar and for the number of
3 samples that required a second-tier test in the
4 original method, they had 1,800. That was markedly
5 reduced to -- in the revised method to 35. When you
6 looked -- they looked at the number of repeat testing
7 that they needed for the original method, it was 136
8 and with the revised method, it was 17. Those samples
9 that required DNA testing and referral for the original
10 method at that time was 7 and that went down to 1 for
11 the revised method. So, again, marked improvements in
12 their testing performance and it really did have a
13 significant impact on their performance -- the
14 performance of their testing. So, next slide, please.

15 So, is there widely available CLIA or FDA-
16 approved confirmatory test or diagnostic testing
17 process, and the answer is very much yes. We have
18 listed all of the laboratories that have confirmatory
19 tests available for GAMT deficiency here. So, yes.
20 The answer to that is yes. Next slide, please.

21 Question number seven is are there defined
22 treatment protocols and FDA-approved drugs and is the
23 treatment -- and is the treatment available. Again, on
24 the left-hand side, I described previously the
25 treatment rationale for this particular disorder, and
26 generally again, these are -- these are reagents that
27 are available. Again we -- when we were thinking about
28 this, there was a question as to the level of
29 availability of these supplements. Would they be
30 classified as metabolic foods and would -- would that
31 provide -- would that be a challenge to any of them?
32 Again, as part of the writeup, the nominees indicated
33 that you can -- that many of these supplements are
34 easily accessible and -- and they are for the most part
35 very much available to those that most insurances will
36 cover the costs with proper preauthorization.

1 In terms of treatment outcomes, symptomatic
2 patients have been shown to improve. Patients treated
3 earlier in life have normal or near-normal development
4 and treatment interruption may result in irreversible
5 damage. So, it's really important to maintain these
6 children on treatment for life. Next slide. So, the
7 answer for that is yes.

8 And the last question is, do the results have
9 clinical utility and essentially, I mean, you know, in
10 the likelihood of a positive newborn screening test for
11 these newborns, this will prompt intervention. Will
12 this intervention have a positive outcome benefit or
13 improvement outcome? I do have here on the left-hand
14 side a number of papers that have described studies
15 that have documented reports about clinical
16 improvements after treatment in patients with this
17 disorder and again, it -- there are promising reports
18 that describe benefit of pre-symptomatic treatment of
19 diagnosed patients. Will you go to the next slide.

20 I know the next slide is a little busy. I'm
21 sorry about that, breaking every rule about
22 presentation. But really, the bottom line here is that
23 in this cohort of forty-eight cases here, one of the
24 things that we would notice is that there are about
25 eighty -- thirty-eight families and -- and it includes
26 younger siblings that were identified as a result of --
27 of an indexed patient with GAMT deficiency. And for --
28 for those younger siblings who were able to be treated
29 at an early stage -- and we have examples of them in
30 the top three -- I know situation may not be perfect --
31 but the -- but the data shows that -- that these
32 individuals in the top three that -- that had treatment
33 onset less than 4 weeks of age, they had -- they didn't
34 have any clinical features at the time and at the time
35 of -- of the assembly of this paper, their outcome --
36 their presentation was -- was clinically normal.

1 And I just -- I also do want to point out that
2 we just heard from Kim Tuminello and Heidi Wallis and
3 again from Becky, who all had -- have this similar
4 scenario with an older affected infant and a younger
5 child that benefitted -- sibling that benefitted from
6 that knowledge that also has a very good outcome for
7 the second child.

8 So, and I think that that's all that I want to
9 say for this particular slide. Essentially, the three
10 patients that are treated within one month of birth
11 appeared normal before treatment and afterwards, the
12 premise here again -- the summary here is that if
13 started before 4 weeks, intellectual disability can be
14 prevented. After 4 weeks or after the onset of
15 treatments, treatment can still be effective, but it
16 may not reverse intellectual disability and so again,
17 pointing to the importance of having early treatment.
18 Next slide.

19 So, the answer to the question -- next slide
20 please. Thank you. So, the answer to the question do
21 the results have clinical utility, the answer is yes,
22 that there is benefit as a result of early
23 identification and pre-symptomatic intervention. This
24 will result in improvement in health outcomes.

25 And so, based on all of this, if you go to the
26 next slide, we did have the summary here where the
27 answers are essentially all yes. I know that we have
28 an unclear here for number five, but again, in speaking
29 to New York, you know, we did get some clarification on
30 that particular issue. So, there were yes answers for
31 all of these and that takes us to what the
32 recommendation of the Nomination and Prioritization
33 Group actually was, and would you mind going there.

34 So, as far as the Nomination and Prioritization
35 Work Group, our deliberations would be that we
36 recommend the Advisory Committee to move forward with

1 full evidence review for the GAMT deficiency in its bid
2 for nomination to the Recommended Uniform Screening
3 Panel.

4 That's all I have and thank you for listening.

5 **COMMITTEE DISCUSSION AND VOTE ON MOVING GAMT DEFICIENCY**
6 **FORWARD TO FULL EVIDENCE REVIEW**

7 CYNTHIA POWELL: Thank you, Dr. Cuthbert, and
8 thank you to the other members of the Nomination and
9 Prioritization Work Group.

10 Now, I'd like to open it up to questions and
11 comments, again from Committee Members first followed
12 by organizational representatives. Again, please
13 remember to use the raise hand feature. I'll call on
14 you in order of when you raised your hand. Please
15 remember to unmute yourself, speak clearly, and state
16 your first and last name before speaking.

17 Any Committee Member with questions or
18 comments? Jane DeLuca.

19 JANE DELUCA: Hi. Thank you for the
20 presentation. Dr. Cuthbert, I just have one question.
21 From the slide, from that study of, you know, the
22 multiple cases, the third patient seemed to have a
23 break in treatment and it seemed like the outcomes were
24 not quite as good as in the beginning when treatment
25 was initiated. Can you speak to that at all?

26 CARLA CUTHBERT: So, you are right and I know
27 that this was not the perfect representation, but this
28 does point to the fact that treatment needs to be
29 maintained for these newborns. So, it is not advisable
30 to have a break in treatment.

31 JANE DELUCA: And you don't have any idea how
32 long that break was?

1 CARLA CUTHBERT: I do not. I apologize. I'm
2 just trying to take a quick look here. I don't. I
3 don't offhand. I do not, I'm sorry.

4 CYNTHIA POWELL: Any other questions or
5 comments from Committee Members?

6 All right. Shawn McCandless.

7 SHAWN MCCANDLESS: Sorry, I -- just quickly.
8 One of the advocates who was speaking to this topic
9 this morning was making the point that this is a very
10 rare condition but that it doesn't matter how rare it
11 is, it should still be screened. And I guess I'm just
12 curious if there's any -- if we have any guidance or
13 what the thinking is about that -- that concept that --
14 that the rareness of the condition shouldn't matter for
15 adding conditions to the RUSP. And I'm just curious to
16 hear perspective from anyone who wants to weigh in.

17 CYNTHIA POWELL: Mei Baker, do you want to
18 address that -- Shawn's question or do you --

19 MEI BAKER: Yes. I want to give my two cents.

20 CYNTHIA POWELL: Okay.

21 MEI BAKER: Yeah. I think it's a good point.
22 This is my personal opinion. I don't think the
23 rareness will prevent these being considered. But I do
24 believe when a disease is so rare, I think the
25 screening performed becomes really important and I
26 think that I'm very pleased to see both New York and
27 Utah. They have very, very few false positives. So, I
28 think that is why I would be fine with that. Thank
29 you.

30 CYNTHIA POWELL: I don't remember, Shawn, that
31 it, you know, anything has come up in the past since
32 I've been on the Committee regarding how rare a
33 condition is and whether that should influence our

1 decision process. But others with longer institutional
2 memory may want to weigh in.

3 SHAWN MCCANDLESS: Also to Mei's good point,
4 which is that the more rare the condition, the more
5 that the shift to the downside of false positives. So,
6 the performance of the screening test becomes more and
7 more important as the condition becomes more and more
8 rare so you don't have an excessive number of false
9 positive results relative to the true positives. And I
10 wonder if there's any guidance from past discussions on
11 that issue as well. What's a tolerable ratio of false
12 positive to true positives?

13 CYNTHIA POWELL: Scott Shone, did you want to -
14 -

15 SCOTT SHONE: I think, yeah, I think I'll just
16 add on to that, Shawn. Would you agree that -- so, I
17 agree about the performance also would be the
18 diagnostic path post-screening result and the -- and
19 the impact and risk of that. I mean, if it's a simple
20 diagnostic biochemistry panel or something to that
21 effect, a very different -- and I'm not -- I'm not
22 weighing in either way in terms of what that would be.
23 I think it's something that we obviously need to
24 discuss. I think it -- I think, Dr. Powell, it has
25 come up sometimes in perhaps deliberations after the
26 Evidence Review Group has presented in terms of some of
27 those discussions as the conditions we look at now are
28 rarer and rarer. So, it's again something else that
29 will continue to come up.

30 I think that part of that -- part of the
31 discussion would only -- would be not only the
32 performance of the diagnostic test but then the next
33 step in terms of -- the screening test -- but then the
34 next step in terms of what the diagnostic testing
35 regimen would potentially be, particularly if you're
36 having more false positives.

1 CYNTHIA POWELL: Robert Ostrander.

2 ROBERT OSTRANDER: I was muted. Hi, it's
3 Robert Ostrander, American Academy of Family
4 Physicians. Two points. One is, I think, although we
5 haven't stated it directly, that the Evidence Review
6 Committee has always taken into account the rarity of
7 the condition in that they evaluate the testing both
8 the screening and the performance test from a
9 perspective of positive and negative predictive value
10 and not just sensitivity and specificity.

11 And the other point is that we certainly have
12 seen some conditions where the prevalence of the
13 disease is found to be higher once the screening is
14 instituted. So, you know, it could be a bit of an
15 issue, especially with rare diseases, where there's
16 going to be, you know, the initial cases are found in a
17 relatively small group given the rare disease that the
18 incidence may be higher because you're suddenly doing
19 this test on people and identifying them before they're
20 symptomatic. We know a lot of these diseases in
21 symptomatic individuals progress and die without a
22 diagnosis.

23 CYNTHIA POWELL: Debra Freedenberg.

24 DEBRA FREEDENBERG: This is Debra Freedenberg,
25 American Academy of Pediatrics. I just wanted to point
26 out there are some conditions currently on the RUSP,
27 and granted, they're secondary, so they're even rarer
28 [inaudible -- muffled] still on the RUSP as a
29 secondary.

30 And then a second comment I wanted to make is
31 that even though these are rare conditions, they are
32 routinely being seen in clinical practice by a
33 biochemical geneticist and that as screening goes
34 forward, they may be more common. And I'm using my own
35 perspective. When I was in practice, I saw three kids

1 with this diagnosis. So, you know, my perspective of
2 what's really rare is a little bit different. My
3 perspective of what's really rare is you never seen
4 them or you see once in a lifetime. But my suspicion
5 is that there may be some undiagnosed and, you know,
6 some of the diagnostic methods that we utilize for like
7 MRS mass spec -- spectrometry that helps with that
8 diagnosis. But so, I don't know that we really know.
9 I mean, we have half a million from New York and we do
10 have other numbers and it did take a while for the
11 newborn screening to actually identify children. But I
12 don't know that we really know the true incidence of
13 this currently. I just wanted to share that
14 perspective.

15 CYNTHIA POWELL: Thank you. Jennifer Kwon.

16 JENNIFER KWON: I appreciate what Debra said
17 because I'm a little curious about what the
18 recommendation is going to be for the second-tier
19 diagnostic testing because in clinical practice, when
20 we think about creatine deficiencies, it's -- it's
21 usually at the screening stage where we're trying to
22 rule out the treatable conditions, however unlikely
23 they might be. So, we do this metabolic evaluation.
24 And I hope the metabolic geneticists aren't appalled
25 but, in general, getting urine on patients that you see
26 in clinic can be very challenging. And that, as far as
27 my understanding is, is how we generally screen for
28 these disorders. I was actually thinking how much -- I
29 was wondering if our yield would be higher in making
30 diagnoses if we could, you know, get a dried blood spot
31 specimen or actually measure creatine in blood because
32 we're already getting blood for other metabolic
33 testing.

34 So, I -- I was sort of curious about the same
35 points that other people are raising about why are we
36 missing diagnoses? Could we be missing diagnoses?

1 CYNTHIA POWELL: Shawn McCandless. Oh.

2 SHAWN MCCANDLESS: I just want to -- I just
3 wanted to respond to -- first of all, thank you for all
4 of those comments. It's very helpful. I want to
5 respond to a couple of things that came up.

6 The first was the comparison to conditions that
7 were placed on the RUSP initially. I don't think that
8 those conditions have ever been reevaluated in a
9 systematic the way that new additions are being
10 evaluated and that -- therefore, I'm not sure that it's
11 fair to say that if something is already on the RUSP,
12 then that sets the standard for how it should be done
13 because we've already clearly moved beyond the evidence
14 standard from the original RUSP, and it just -- it
15 makes a more compelling argument for why at some point
16 there needs to be a reevaluation of those -- of those
17 conditions in a second way or in a more -- in a more
18 careful way with the current level of evidence review
19 that we -- that we're applying to new conditions added
20 to the RUSP. So, I don't think that it's -- I think we
21 should be really careful about saying well, it's more
22 this than this condition; it's already on the RUSP.
23 Because those -- those historical conditions on the
24 RUSP have not undergone the same amount of scrutiny and
25 probably need to at some point.

26 The second thing is, just to be really clear,
27 or maybe I'm misunderstanding, but the RUSP, the
28 Recommended Uniform Screening Panel, is the RUSP. The
29 secondary conditions is a list of conditions that are
30 to be -- will be identified by markers that are used as
31 primary markers for conditions on the RUSP, but they're
32 not part of the RUSP. Is that correct? Am I
33 understanding that correctly?

34 CYNTHIA POWELL: I think they're still
35 considered part of the RUSP, but just the secondary
36 conditions. Others may want to correct me.

1 MEI BAKER: This is Mei Baker, Committee
2 Member. I think it's an ongoing discussion. I think
3 [indiscernible] in terms that to my knowledge, I think
4 -- well, I think it's an open discussion. Hopefully in
5 the near future, we'll have a better sense in terms of
6 intended targeted conditions and because when you're
7 using a marker, you also will identify something else
8 that needs to be well-defined -- should be well-
9 defined.

10 CYNTHIA POWELL: Debra, were you going to
11 comment before on Jennifer's question about the testing
12 for GAMT in the clinical setting?

13 DEBRA FREEDENBERG: I hadn't intended to.

14 CYNTHIA POWELL: Okay. I thought I saw -- I
15 thought I heard you start to say something and I cut
16 you off.

17 DEBRA FREEDENBERG: Yes, it was -- I mean,
18 she's correct. It would be -- often it is easy to do
19 blood spot testing and collect it then on some kids.
20 But often when you're doing evaluation for a child that
21 does have developmental delays and seizures and you're
22 doing a metabolic evaluation, you're collecting urine
23 for other reasons besides looking at a GAMT evaluation.
24 So, you'll be getting [inaudible - muffled]. You'll be
25 doing a urine sample anyway.

26 CYNTHIA POWELL: Okay.

27 Shawn, did you have another?

28 SHAWN MCCANDLESS: Just to respond also to
29 Jennifer that in the -- in a diagnostic evaluation,
30 you're looking for other creatine deficiency disorders
31 in addition to GAMT, and we want to be careful not to
32 confuse the issue. This is what's being proposed for
33 the screening panel is very specific, appropriately so.

34 CYNTHIA POWELL: Okay. Thank you.

1 I don't see any other hands raised. Dr. Berry,
2 do you have a --

3 GERARD BERRY: Yes, Gerry Berry, SIMD. I
4 wonder if you might be able to quantify this a little
5 better because, you know, when I listen to the
6 arguments, I -- I think -- I think of what's in favor
7 of doing it and what's against it. So, the more -- the
8 more rare the problem is, the less -- the less weight
9 you would put onto it. But -- but, on the other hand,
10 if you have a treatment that's wonderful and really,
11 really can have a dramatic impact on that infant and
12 child's life, that would, for me, start to push it into
13 something that would be more, you know, more
14 acceptable. On the other hand, if you have a lot of
15 false positives, you know, that would be detrimental.
16 So, I wonder if we might be able to -- to try to think
17 of that, how you could, you know, maybe do a scoring
18 system for this, just as a pilot -- as a pilot thing.

19 Of course, for us in the Biochemical Genetics
20 Clinic, this disorder has become very important because
21 someone -- if someone comes to us for an evaluation and
22 they already had a pretty -- pretty significant workup,
23 we would always measure a plasma guanidinoacetate on
24 someone with developmental delay and hypotonia and
25 certainly if there was a seizure problem. So, I think
26 it's -- it's becoming more and more commonplace in the
27 -- in the metabolic clinic.

28 CYNTHIA POWELL: Thank you.

29 Anyone else with a question or comment? All
30 right. Is there a motion from a Committee Member
31 regarding whether or not to recommend GAMT go forward
32 for full evidence-based review?

33 KYLE BROTHERS: this is Kyle Brothers. I move
34 that we move it forward for full evidence-based review.

35 CYNTHIA POWELL: Is there a second?

1 SHAWN MCCANDLESS: Shawn -- this is Shawn
2 McCandless. I second the motion.

3 CYNTHIA POWELL: Thank you. So, we'll now hold
4 a vote or sorry, prior to that. Is there any
5 additional questions or comments from Committee Members
6 only before we take a vote?

7 Okay. I will -- we'll take a vote and I'll
8 read -- each Committee Member, if you could please say
9 whether you're voting yes, no, or abstaining. Mei
10 Baker.

11 MEI BAKER: Yes.

12 CYNTHIA POWELL: Jeff Brosco. Kyle Brothers.

13 KYLE BROTHERS: Yes.

14 CYNTHIA POWELL: Carla Cuthbert.

15 CARLA CUTHBERT: Yes.

16 CYNTHIA POWELL: Jane DeLuca.

17 JANE DELUCA: Yes.

18 CYNTHIA POWELL: Kellie Kelm, FDA.

19 KELLIE KELM: Yes.

20 CYNTHIA POWELL: Shawn McCandless.

21 SHAWN MCCANDLESS: Yes.

22 CYNTHIA POWELL: Kamala Mistry, AHRQ.

23 KAMALA MISTRY: Yes.

24 CYNTHIA POWELL: Melissa Parisi, NIH.

25 MELISSA PARISI: Yes.

26 CYNTHIA POWELL: And I, Cynthia Powell, vote
27 yes. Annamarie Saarinen.

28 ANNAMARIE SAARINEN: Yes.

1 CYNTHIA POWELL: Scott Shone.

2 SCOTT SHONE: Yes.

3 CYNTHIA POWELL: And Michael Warren.

4 MICHAEL WARREN: Yes.

5 CYNTHIA POWELL: Okay. We have twelve voting
6 yes and no no's. So, the recommendation has been
7 approved to move GAMT forward for full evidence-based
8 review. So, we'll move that forward. I'd like to
9 thank the Committee for their thoughtful consideration.
10 GAMT deficiency will be assigned to the Evidence Review
11 Group. The Committee now has nine months to complete
12 the evidence-based review and vote on whether or not to
13 recommend GAMT deficiency for addition to the RUSP.
14 Thank you all.

15 I would next like to move forward with a
16 presentation by Dr. Shawn McCandless about Emerging
17 Issues in Newborn Screening. In recent months, the
18 Committee has received public comments on the
19 efficiency of the Committee processes for adding
20 conditions to the RUSP. In general, these questions
21 focused on the Committee's ability to keep pace with
22 the number of potential nominations given rapid
23 advancements in the detection and treatment for rare
24 and ultra-rare heritable disorders. As you may recall,
25 during the new business portion of the May 2021 meeting
26 in response to public comments, Dr. McCandless asked if
27 the Committee could have some time to discuss these
28 issues. Given this morning's presentation on the
29 proposed updates to current Committee processes, this
30 is a timely conversation, and I think it's important
31 for the Committee to engage in some forward thinking
32 around these topics.

33 Dr. Shawn McCandless is a Committee Member and
34 Professor of Pediatrics and the section head for
35 Genetics and Metabolism at the University of Colorado,

1 Denver School of Medicine and the Children's Hospital
2 of Colorado. He is a past President of the Society for
3 Inherited Metabolic Disorders and served on the Ohio
4 Department of Health Newborn Screening Advisory Council
5 for twelve years prior to moving to Colorado.

6 Dr. McCandless' research has focused on inborn
7 errors of metabolism and Prader-Willi Syndrome,
8 including publicly and industry-funded clinical trials
9 for children and adults with IEMs and Prader-Willi
10 Syndrome. He's a fellow of the American College of
11 Medical Genetics and is active in the SIMD and the
12 American Society for Human Genetics.

13 I'll now turn it over to Dr. McCandless to take a few
14 moments. Shawn, we're giving you thirty minutes from
15 now for this presentation since we're starting a bit
16 late. So, he'll briefly frame the conversation before
17 we facilitate a discussion.

18 **EMERGING ISSUES IN NEWBORN SCREENING**

19 SHAWN MCCANDLESS: Thank you, Dr. Powell. Just
20 to reassure everyone, this is not actually a
21 presentation. It's just framing a discussion the bulk
22 of the time will be spent on discussion. May I have
23 the next slide, please.

24 Just to frame the issues, I think today's
25 conversations earlier, the public comments, really set
26 the stage for this discussion, and we really got into
27 the weeds earlier today about sort of how to update the
28 evidence review and the decision matrix, how to update
29 them. I think what we hope will come from this
30 conversation is sort of a step back and a look forward
31 of what is coming down the road that this Committee is
32 going to need to deal with, and is the system that we
33 have in place to make those decisions going to be
34 robust enough to deal with the -- with what's coming
35 and specifically, as Dr. Powell alluded to, this was --
36 this discussion started with some questions that were

1 raised by public comments at our last meeting. So,
2 some of the key considerations that we would like to
3 discuss today and really this discussion is about the
4 process of the Committee, is that the key
5 considerations include sort of the tradeoffs that we
6 make for the timeline with taking a very deliberate
7 approach versus taking a speedy approach and
8 specifically this morning, one public commenter
9 actually proposed that there be some sort of expedited
10 or expedited acceptance or approval or addition that
11 might be time-limited. And so, that's something to
12 consider.

13 The next question or the next issue to consider
14 is the capacity of this Committee and the potential for
15 the number of nominations in the future to outpace the
16 Committee's capacity to do the work.

17 Dr. Kemper, this morning, kind of went through
18 the timeline of the evidence review Committee, and as
19 you can see from the amount of work that that Committee
20 does for each evidence review, there's going to be a
21 cap -- they have a -- a limited bandwidth to take on
22 new -- new disorders. We've now just added GAMT
23 evidence review while they're in the middle of doing
24 the MPS II review, and now we have a new -- a new
25 nomination in front of the Nomination and
26 Prioritizations Work Group. And so this is -- this is
27 -- it's still a small number, but based on the number
28 of novel therapies that are in the pipeline now,
29 heading towards getting approval, it seems likely that
30 the numbers will increase.

31 And as our colleagues have brought forth the
32 GAMT nomination has shown -- as the guidelines from
33 this Committee become more clear, it -- the bar is
34 higher for making a nomination, but it's also somewhat
35 more clear what needs to be done. And so, I think it's
36 fair to assume that there will be a fair number of more

1 nominations of conditions that have been not moved
2 forward in the past will be brought back, as we saw
3 with Krabbe Disease, and the number of nominations is
4 likewise continue to grow.

5 Finally, the really important issue is around
6 equity and specifically in this context, that question
7 is does the RUSP nomination process favor conditions if
8 stakeholders have more resources or conversely, does it
9 limit conditions where stakeholders have fewer
10 resources. So, is it fair that organizations that are
11 larger or have more -- more funding, it's easier for
12 them to bring a nomination than for an advocacy group
13 or a group of patients or a group of researchers who
14 have less funding. May I have the next slide, please.

15 So, some questions to start the discussion, and
16 I would also like to invite the Committee Members and
17 society representatives to respond to public comments
18 that were made earlier today in addition to these
19 questions. But just very briefly, the questions that
20 we wanted to start with are, is the system biased
21 towards conditions that have more resources and the
22 followup to that is should the Committee -- should the
23 Committee actively monitor conditions that are
24 potential candidate for nomination rather than relying
25 on the nomination system that we currently have?

26 And then, should the Committee consider a
27 process that reviews groups of conditions at once --
28 multiplexed reviews -- which has been suggested by
29 multiple people in the past as well as today. And that
30 has then followup questions of how would this impact
31 states and other components of the newborn screening
32 system.

33 At the last meeting in May, a question was
34 raised that if the FDA has approved a treatment,
35 doesn't that define the condition as a treatable
36 disorder, and so, shouldn't FDA approval automatically

1 make a condition appropriate for newborn screening?
2 And that's a question that I think this group should be
3 thinking about.

4 And then the last question is, what key
5 stakeholders are under-represented or not represented
6 at all in the discussions that we're having?

7 So, I will stop. Dr. Powell will call on
8 people to respond to these questions or to raise new
9 questions, if you wish, and I will jump in from time to
10 time to redirect if we're sort of getting away from the
11 goal of this discussion.

12 CYNTHIA POWELL: Thank you, Dr. McCandless.
13 We'll now open it up to questions and comments from the
14 Committee Members first, followed by organizational
15 representatives. Please raise your hand on the Zoom
16 when you'd like to make comments or questions. Please
17 remember to unmute yourself and state your first and
18 last names to ensure proper recording. Let me just
19 switch over. So, I'll allow Committee Members first.
20 And Mei Baker.

21 MEI BAKER: Mei Baker, Committee Member. I
22 have a question first because when Shawn, you framed
23 this category, and first of all you talked about the
24 timeline. Is it regarding for the nomination or
25 general newborn screening turnaround time?

26 SHAWN MCCANDLESS: Great question, Mei. This
27 is Shawn McCandless, Committee Member. Specifically,
28 the timeline question was about the timeline from when
29 a nomination is brought to the attention of HRSA. So,
30 the staff works with the nominator to make sure that
31 the nomination package is complete. The nomination
32 package is then brought to this Committee, which refers
33 it to the Nomination and Prioritization Work Group.
34 The Nomination and Prioritization Work Group reviews
35 and comes back to this Committee with a recommendation

1 for evidence review or not. The Evidence Review has a
2 certainly timeline and it comes back to this Committee
3 and data are presented or the evidence review is
4 presented, and then this Committee votes yes or no to -
5 - to recommend to the Secretary of HHS that the
6 condition be added to the RUSP. And then if -- if the
7 recommendation is that yes, it should be -- the
8 recommendation is to add the condition, the Secretary
9 of HHS has some period of time to respond to that and
10 make a yes or no decision, and then it's -- and only at
11 that point does something get added to the RUSP, and
12 that's the timeline that we're talking about, which is
13 quite extensive. And that doesn't even take into
14 account the amount of time that the nominator spends
15 preparing the nomination, collecting the data that's
16 required.

17 MEI BAKER: Thank you.

18 CYNTHIA POWELL: Any other comments from
19 Committee Members? Scott Shone.

20 SCOTT SHONE: Scott Shone, Committee Member. I
21 think after my comments this morning, Shawn, you knew
22 I'd have some thoughts on this and I'd love to talk
23 more about it because I don't necessarily think that
24 the time allotted is -- is enough to really capture a
25 lot of this, and I thank you for bringing it up.

26 I mean, I think just, right off the bat, I do,
27 as I said this morning, I feel that the system presents
28 a lot of challenges, not just to -- based on resources,
29 but technology and other conditions and really focuses
30 a lot on -- on, you know, I think it holds back other
31 potential conditions that would impact children because
32 we focus a lot on the newborn screening, the dried
33 blood spot, and that.

34 So, I think that in general, the answer to your
35 first question would be yes, but I don't -- I think

1 it's beyond just resources. Resources are going to be
2 a challenge with any pilot study or with any need to
3 gather data and as someone who -- who said five years
4 ago, you know, we need data to make these decisions and
5 we need, you know, we need to identify individuals with
6 these conditions to be able to understand what that
7 impact is. That resources are always going to be a
8 limiting factor, but I think it's bigger than that.
9 And I'll also just -- I think that the multiplex is 100
10 percent necessary because as Dean Suhr said, the
11 tsunami is here. I think we're -- we're there, like, I
12 don't even think it's coming, I think we're there. And
13 anybody who has been to any of the recent, you know,
14 therapeutic webinars and conferences will tell you that
15 as well. I mean, you've been there.

16 And so -- and finally, I'll just say that, you
17 know, I don't know that treatment equals treatable
18 disorder for newborn screening. I think that goes to
19 my other comment about figuring out the timeline here.
20 Do we have to screen for everything in -- in the -- on
21 the dried blood spot in the newborn period or can we
22 think about blowing up the paradigm here and really
23 looking at ways we can look at who is under-represented
24 and -- and look at health equity in other parts of the
25 system beyond that every baby is born and every baby
26 has an opportunity for newborn screening, to look at
27 ways to identify not only some conditions that we are
28 aware of that are more impactful later in life, but
29 ones that we haven't even broached because we just
30 don't have that lens on it.

31 CYNTHIA POWELL: Mei Baker.

32 MEI BAKER: Yeah. I think it's a -- I feel we
33 cannot address every single one isolated. It's all
34 connected. And I think if I can dare to just think
35 without a boundary, I would say it seems to me that
36 treatment would take major weight in the process and

1 other things are treated but not just medical treatment
2 make a huge difference that can be quite important
3 because when we -- let's think about the future. All
4 the genetic conditions, not all, that's not all, but
5 the majority, we can do in gene therapy and also we
6 need to do this before symptoms occur and this is one
7 thing we need to think about that.

8 The second one I am thinking is right now,
9 let's say majority 90 percent beyond that is a genetic
10 condition. So, Scott said it very well. Multiplex is
11 important because of the cost in that.

12 And if all the genetic conditions, what's the
13 best way one system can take it all? You have to think
14 about the genomic, right? But genomic alone cannot
15 take this. So, in my mind, in the future, the paradigm
16 needs to shift. So, now we use a biochemical enzyme
17 assay taken first because it's cheap and quick and then
18 use the molecule as a secondary like a supplemental is
19 that in the future, we switch and start with the
20 genomic. Then you have a biochemical enzyme assay
21 identified who can biochemically verify them.

22 I know it's a lot, a lot, a lot in this simple
23 sentiment, but I think I just want to bring this
24 concept into this because -- I think I do feel we need
25 to think out of the box.

26 CYNTHIA POWELL: Thank you.

27 SHAWN MCCANDLESS: This is Shawn McCandless,
28 Committee Member. We -- we're covering a lot of ground
29 with different questions. I'd like to -- to take a few
30 minutes to focus on the issue of multiplexing because
31 it sounds great in theory, but it's not obvious to me
32 how that works. So, I'd love for someone to give me
33 some examples of conditions that we would multiplex
34 that where you wouldn't end up having to evaluate them
35 separately because the issues around treatment or

1 testing or whatever are going to end up being
2 different. So, Mei or Scott, you both brought up
3 multiplexing or other people may have thoughts about
4 that. What would be the challenges to multiplexing
5 conditions for the review process?

6 CYNTHIA POWELL: Mei, did you want to comment
7 on that?

8 MEI BAKER: Sure, because I was asked. I think
9 that multiplexing is a form of technical deployment.
10 But my concern is that the conditions would have other
11 criteria. So, if the meeting criteria laboratory was
12 trying to do multiplexing the best we can, I think
13 everybody knows that the typical example is SMA.
14 Multiplexing with SCID. You cannot ask a better, you
15 know, example for that. But people may think lysosomal
16 storage disorders but I would think not all the
17 lysosomal storage disorders are created equal, and we
18 do a lot of discussion now that we have it on the panel
19 for MPS I and Pompe, and then we heard about Krabbe. I
20 don't think Pompe is at the same category as Krabbe as
21 MPS II.

22 So, I think that is the thing we need to think
23 about that and also multiplexing is an indication. But
24 I think we do well as a content profile you know, we
25 can do a group offatty acid disorders and amino acid
26 disorders. And if --

27 SHAWN MCCANDLESS: Mei, I'm just going to
28 interrupt for a minute --

29 MEI BAKER: Okay.

30 SHAWN MCCANDLESS: -- just to redirect to say
31 that I don't want to cause any confusion using the term
32 multiplexing, because we're not talking today about
33 multiplexing the tests.

34 MEI BAKER: Oh.

1 SHAWN MCCANDLESS: We're talking about
2 considering multiple conditions at the same time for
3 addition to the RUSP -- multiple related conditions at
4 the same time to the RUSP. And we were using
5 multiplexing to -- as a -- as a term to reflect that.
6 But it's really about the way we evaluate the
7 conditions doing more than one at a time because
8 they're related.

9 MEI BAKER: Thank you, thank you. I
10 misunderstood you. I'm glad you interrupted.

11 SHAWN MCCANDLESS: Well, I apologize because I
12 was -- I was using a term that usually means something
13 else or is used in a different context in this group.

14 MEI BAKER: Yeah. Well, okay. I will just
15 have a couple seconds about this. I think I struggle
16 with that because if they are fitting into some
17 category or some criteria, maybe so. But if they're
18 different, I don't know how you put a multiple disorder
19 together, not just because they do the same testing or
20 not, so.

21 CYNTHIA POWELL: Carla Cuthbert, did you have a
22 comment?

23 CARLA CUTHBERT: Yeah. I'm -- yes. So, I'll
24 just take a stab at that, Shawn. I think that that's
25 very intriguing because again, when you say
26 multiplexing, our minds as laboratorians go directly to
27 the how could you get more biomarkers onto a single
28 platform. But I do think that that's -- that still has
29 to be part of the solution because if you're looking at
30 perhaps a family of conditions, so perhaps a similar
31 clinical -- clinical phenotype and perhaps a group of
32 biomarkers that you can evaluate together that can give
33 you information accordingly, maybe that's what you need
34 to be able to think about. Again, we have a great

1 example for the amino acids and acylcarnitines. That -
2 - that would be where I would start.

3 Now, we do have in our -- in our branch, I have
4 some of my staff working on a high-resolution mass
5 spectrometry for newborn screening to do metabolomics
6 and again, the idea is you can only get so far with the
7 triple clot as a platform and you do need to think
8 outside of the box in terms of an application. So, I
9 do still see it very linked. The testing has to go
10 hand in hand with the clinical phenotype and you need
11 to be able to sort of marry those together.

12 CYNTHIA POWELL: Thank you. Deb Freedenberg.

13 DEBRA FREEDENBERG: In my thinking about this,
14 the laboratory aspects of multiplexing is not going to
15 be the challenge. I think that will be able to be
16 worked out even if we go to [inaudible - muffled.] But
17 where I do have concerns on this is the treatment
18 availability and follow-up once you start adding the
19 availability if we talk gene therapy, if we talk
20 genomics, if we talk whatever ERT. We're escalating
21 exponentially the costs of the follow-up and the
22 availability and the workforce that's out there to
23 evaluate these children as well currently. And so, you
24 know, I worry about equity. I worry about workforce
25 availability, and I worry about covering the costs of
26 the treatments that one week we had ten conditions on
27 at the same time that all had been expensive
28 treatments. You know, up to now, we haven't -- we had
29 the luxury of not having to consider what it costs to
30 treat any of these children, and hopefully it will
31 continue to be that way. But at some point, the
32 system's going to push back and say, you know, where is
33 this funding coming from to treat all these kids with
34 these really expensive treatments. And if we suddenly
35 say, okay, we're adding twenty more conditions on, you
36 know, in two months or whatever, I think that that's

1 going to be a big push on the system and I think we
2 have to remember that newborn screening is a system and
3 as we think about this, we need to think about the
4 whole system, not just the laboratory component of it.
5 We need to think about the workforce.

6 I mean, it's just one other thing that I just
7 wanted to address in terms of screening at 6 months or
8 1 year of age.

9 One of the big pluses of newborn screening is
10 it's population based, and there's a lot of concern
11 that if we move that to 6 months or 12 months, you're
12 not going to get the full population. You're going to
13 have dropouts and you're not going to be able to screen
14 the whole population, and it's just a point to consider
15 in that if you start thinking about screening outside
16 of the newborn screening period. So, that's just a
17 point to consider.

18 But, you know, my real thought is that equity
19 and the availability of resources if we start really
20 multiplexing lots of conditions all at once that turn
21 out very expensive treatments that every baby deserves.

22 CYNTHIA POWELL: Thank you. Mei, did you have
23 another comment? I see your hand raised. Oh, you're
24 muted.

25 MEI BAKER: No. I forgot to lower my hand.
26 Sorry.

27 CYNTHIA POWELL: Okay, no problem. All right.
28 Yeah, Shawn?

29 SHAWN MCCANDLESS: Yeah, maybe we could address
30 another point that Scott raised or that came up in the
31 questions and Scott noted. I'd just be curious to
32 think [sic] what others think and that is the question
33 of, is a shortcut to make the position of this
34 Committee be that if the FDA approves a treatment for a

1 condition, that that -- that that is now considered a
2 treatable condition and that there's -- that there's no
3 need to consider anything beyond whether pre-
4 symptomatic treatment has added benefit over
5 symptomatic treatment? So, does FDA approval mean that
6 it's a treatable newborn screening condition?

7 CYNTHIA POWELL: Jennifer or, I'm sorry, let me
8 go to Committee Member, Kellie Kelm.

9 KELLIE KELM: Hi, this is Kellie Kelm from FDA.
10 The only thing that I will raise and I know that that
11 came up, I believe, with SMA, is that, you know, we've
12 had instances where the therapies have been accelerated
13 approvals, which at that point, only means that they
14 have demonstrated a meaningful change in a surrogate
15 endpoint and then they have post-approval studies that
16 are required to demonstrate effectiveness. So, you
17 know, I think we would just have to be cautious,
18 because there are different types of FDA approval and
19 obviously, I believe we talked about GAMT today -- no,
20 I'm sorry, that wasn't -- that was an earlier one
21 about, you know, also we obviously need to look at the
22 data on -- for early treatment and again, I think there
23 was, for example, some information on a drug label on
24 kids under 5 and so, you know, it's hard to just say
25 that a box is checked there, you know, but I think that
26 you still probably have to look at the information and
27 what that approval was for.

28 CYNTHIA POWELL: Scott Shone.

29 SCOTT SHONE: Yeah, and I just want to make
30 sure, Shawn, I'm understanding correctly, because, I
31 mean, isn't a basic tenet of newborn screening that
32 pre-symptomatic therapy shows benefit over clinical
33 identification? So, if -- and Kellie can speak and
34 just did speak better than I did on this -- but that
35 depending upon what that approval is, will drive that
36 as well as the data that shows that -- that comparison.

1 So, I feel that at the heart of the question around
2 does approval mean that it's ready to go for newborn
3 screening, is no because there -- unless we're going to
4 change the way that newborn screening evidence review
5 has been done for decades now, that clear demonstration
6 of intervening prior to symptoms benefits and that the
7 benefits outweigh any of the risks associated with
8 that. That's where I'm coming from with this.

9 CYNTHIA POWELL: Jennifer Kwon.

10 JENNIFER KWON: Thank you. Jennifer Kwon,
11 organizational representative for the Child Neurology
12 Society. I appreciate Kellie and Scott speaking up.
13 FDA approval of a drug doesn't mean that it's going to
14 be effective or it's shown to be effective pre-
15 symptomatically. So, I think that's really the -- that
16 really should be the bar, that we have a treatment that
17 has -- that has some indication -- it may not be the
18 best evidence -- but there's some clear indication that
19 it helps when given pre-symptomatically.

20 And so, I also think that it gets a little bit
21 to the -- to the issue of equity. I feel like when we
22 commit and accept a disease on the RUSP, in many ways,
23 when states add that disease to their panel, they are
24 committing to treating that disease, right? Why would
25 you screen for it unless you are willing to treat it?
26 But, as Debra pointed out, some of those -- the
27 treatments seem to be getting more and more expensive.
28 So, I think that the Committee needs to continue to be
29 very sensitive about these individual variations and
30 treatments and the particular disorders and that also
31 maybe speaks to this idea of looking at multiple
32 disorders in aggregate. What has always struck me,
33 maybe with the exception of the fatty acid oxidation
34 disorders, is how distinct disorders are, even like
35 lysosomal storage disorders. They have their own

1 personalities and treatments and, you know, nightmare
2 scenarios.

3 So, I feel, unfortunately, that part of what
4 you're pointing out, Shawn, is how demanding it's going
5 to be for the Committee as more and, you know, as
6 there's a clamor for more and more rare diseases to be
7 screened early. Thank you.

8 CYNTHIA POWELL: Thank you. Unfortunately, I
9 think we need to wrap things up. Thank you so much,
10 Dr. McCandless, for presenting this session and raising
11 all these complex questions that are certainly very
12 important. It's been a great discussion. Thanks to
13 all who contributed. And I think, you know, certainly
14 in terms of multiplexing, how the Committee, you know,
15 can the Committee multiplex conditions that they
16 consider, I think that's very deserving of future
17 discussion and work.

18 So, this concludes day one of the August
19 Committee meeting of the Advisory Committee of
20 Heritable Disorders in Newborns and Children. Thank
21 you to the Committee Members, the organizational
22 representatives, and members of the public for
23 attending. We will reconvene tomorrow, Friday, August
24 13th, at 10 a.m. Eastern time. Thank you all.

25 [Whereupon the meeting was adjourned.]

26