

1  
2  
3  
4 THE ADVISORY COMMITTEE ON HERITABLE DISORDERS  
5 IN NEWBORNS AND CHILDREN  
6 IN-PERSON/WEBINAR  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16

17 HRSA HEADQUARTERS  
18 5600 FISHERS LANE  
19 ROCKVILLE, MARYLAND 20852 (Pavilion)  
20 Monday, January 29, 2024  
21

**Table of Contents**

1

2 COMMITTEE MEMBERS.....3

3 EX - OFFICIO MEMBERS.....5

4 DESIGNATED FEDERAL OFFICIAL.....7

5 ORGANIZATIONAL REPRESENTATIVES.....7

6 Welcome, Roll Call, Opening Remarks, and Committee Business....12

7 Family Outcomes of Newborn Screening: Project Overview

8 Update.....24

9 Committee Discussion.....40

10 Families' Search for Meaning and Value in Rare Genetic

11 Diagnoses.....62

12 The "Value of Values": Expanding Assessment of Net Benefits

13 and Harms through Social Science Data.....78

14 Committee Discussion.....102

15 Public Comment.....126

16 Duchenne Muscular Dystrophy Evidence-Based Review: Phase 2

17 Update.....151

18 Committee Discussion.....159

19 ACHDNC Decision Matrix Tool: Public Health Assessment &

20 ACHDNC Nomination Process Update.....193

21 Committee Discussion.....205

22 Public Discussion.....224

**COMMITTEE MEMBERS**

**Ned Calonge, MD, MPH (Chairperson)**

Associate Dean for Public Health Practice  
Colorado School of Public Health

**Michele Caggana, ScD, FACMG**

Deputy Director, Division of Genetics  
New York Department of Health

**Jannine D. Cody, PhD**

Professor, Department of Pediatrics  
Director, Chromosome 18 Clinical Research Center  
Founder and President  
The Chromosome 18 Registry & Research Society

**M. Christine Dorley, PhD, MS**

Assistant Director, Laboratory Services  
Tennessee Department of Health

**COMMITTEE MEMBERS**

(continued)

**Jennifer M. Kwon, MD, MPH, FAAN**

Director, Pediatric Neuromuscular Program

American Family Children's Hospital

Professor of Child Neurology

University of Wisconsin School of Medicine

**Ashutosh Lal, MD**

Professor of Clinical Pediatrics

University of California San Francisco

UCSF) School of Medicine

UCSF Benioff Children's Hospital

**Shawn E. McCandless, MD**

Professor, Department of Pediatrics

Head, Section of Genetics and Metabolism

University of Colorado Anschutz Medical Campus

Children's Hospital Colorado

**COMMITTEE MEMBERS**

(continued)

**Chanika Phornphutkul, MD, FACMG**

Professor of Pediatrics and Pathology and

Laboratory Medicine and Genetics

Director, Division of Human Genetics

Department of Pediatrics

Brown University

Hasbro Children's Hospital / Rhode Island Hospital

**EX - OFFICIO MEMBERS**

**Agency for Healthcare Research & Quality**

*Kamila B. Mistry, PhD, MPH*

Senior Advisor

Child Health and Quality Improvement

**Centers for Disease Control & Prevention**

*Carla Cuthbert, PhD*

Chief, Newborn Screening and Molecular Biology Branch

Division of Laboratory Sciences

National Center for Environmental Health

**EX - OFFICIO MEMBERS**

(continued)

**Food & Drug Administration**

*Paula Caposino, PhD*

Acting Deputy Director, Division of Chemistry

and Toxicology Devices

Office of In Vitro Diagnostics

**Health Resources & Services Administration**

*Michael Warren, MD, MPH, FAAP*

Associate Administrator

Maternal and Child Health Bureau

**National Institutes of Health**

*Diana W. Bianchi, MD*

Director, Eunice Kennedy Shriver National Institute of

Child Health and Human Development

**DESIGNATED FEDERAL OFFICIAL**

**CDR Leticia Manning, MPH**

Health Resources and Services Administration

Genetic Services Branch

Maternal and Child Health Bureau

**ORGANIZATIONAL REPRESENTATIVES**

**American Academy of Family Physicians**

Robert Ostrander, MD

Valley View Family Practice

**American Academy of Pediatrics**

Debra Freedenberg, MD, PhD

Medical Director, Newborn Screening and Genetics,

Community Health Improvement Texas Department of State

Health Services

**ORGANIZATIONAL REPRESENTATIVES**

(continued)

**American College of Medical Genetics & Genomics**

Cynthia Powell, PhD, FACMG, FAAP

Professor of Pediatrics and Genetics

Director, Medical Genetics Residency Program Pediatric  
Genetics and Metabolism

The University of North Carolina at Chapel Hill

**American College of Obstetricians & Gynecologists**

Steven J. Ralston, MD, MPH

Chair, OB/GYN Pennsylvania Hospital

**Association of Maternal & Child Health Programs**

Karin Downs, RN, MPH

Maternal and Child Health Director (retired)

Massachusetts Department of Public Health



**ORGANIZATIONAL REPRESENTATIVES**

(continued)

**Association of Public Health Laboratories**

Susan M. Tanksley, PhD

Manager, Laboratory Operations Unit

Texas Department of State Health Services

**Association of State & Territorial Health Officials**

Scott M. Shone, Ph.D., HCLD(ABB)

Director

North Carolina State Laboratory of Public Health

**Association of Women's Health, Obstetric and Neonatal  
Nurses**

Shakira Henderson, PhD, DNP, MS, MPH, RNCNIC, IBCLC

Health Board Director

Vice President, Research Officer

University of North Carolina Health

**ORGANIZATIONAL REPRESENTATIVES**

(continued)

**Child Neurology Society**

Margie Ream, MD, PhD

Associate Professor

Director, Leukodystrophy Care Clinic

Director, Child Neurology Residency Program

Nationwide Children's Hospital, Division of Neurology

**Department of Defense**

Jacob Hogue, MD

Lieutenant Colonel, Medical Corps, U.S. Army

Chief, Genetics, Madigan Army Medical Center

**Genetic Alliance**

Natasha F. Bonhomme

Vice President of Strategic Development

**ORGANIZATIONAL REPRESENTATIVES**

(continued)

**March of Dimes**

Siobhan Dolan, MD, MPH, MBA

Professor and Vice-Chair, Genetics and Geonomics

Department of Obstetrics, Gynecology, and Reproductive  
Science

Icahn School of Medicine at Mount Sinai

**National Society of Genetic Counselors**

Cate Walsh Vockley, MS, LCGC

Senior Genetic Counselor

Division of Medical Genetics

UPMC Children's Hospital of Pittsburgh

**Society for Inherited Metabolic Disorders**

Susan A. Berry, M.D.

Professor, Division of Genetics and Metabolism

Department of Pediatrics

University of Minnesota

P R O C E E D I N G S

**Welcome, Roll Call, Opening Remarks,  
and Committee Business**

DR. CALONGE: Good morning. Welcome to the first Advisory Committee on Heritable Disorder in Newborns and Children meeting in 2024. And it's great to have so many people in the room. There are some people, including the Committee members I've not met in-person and it's great to flesh you out in all three dimensions.

I'm going to do a quick land acknowledgment, as we're gathered here in person at 5600 Fisher Lane, Rockville, Maryland, I want to open the meeting by taking a moment to acknowledge the land we gather on today. We acknowledge that the land and water on which our meeting is taking place was and still is inhabited and cared for by the Susquehannock Tribe, the Piscataway Peoples, including the Piscataway Conoy Tribe and the Choptico Band of the Piscataway Indian Nation.

We're grateful for their past and continued stewardship of this land and pay our respects to Maryland's indigenous community and their Elders, both past and present, as well as future generations.

1                   Now, I'd like to turn things over to Leticia  
2 Manning for the roll call.

3                   CDR. MANNING: Thank you. Good morning,  
4 everyone, and welcome to HRSA. I'm going to start with  
5 our Committee Members for roll call. From the Agency  
6 for Healthcare Research and Quality, Kamila Mistry.

7                   DR. MISTRY: Here.

8                   CDR. MANNING: Michele Caggana.

9                   DR. CAGGANA: Here.

10                  CDR. MANNING: We have Ned Calonge.

11                  DR. CALONGE: Here.

12                  CDR. MANNING: From the Centers for Disease  
13 Control and Prevention, Carla Cuthbert.

14                  DR. CUTHBERT: I'm here.

15                  CDR. MANNING: Jannine Cody.

16                  DR. CODY: I'm present.

17                  CDR. MANNING: Christine Dorley.

18                  DR. DORLEY: Here.

19                  CDR. MANNING: From the Food and Drug  
20 Administration, Paula Caposino.

21                  DR. CAPOSINO: Here.

22                  CDR. MANNING: From the Health Resources and  
23 Services Administration, Jeff Brosco.

24                  DR. BROSCO: I'm here. Dr. Warren is at a

1 Maternal Health meeting today, but he should join us by  
2 the afternoon and will be here tomorrow for most of the  
3 agenda.

4 CDR. MANNING: Jennifer Kwon.

5 DR. KWON: Here.

6 CDR. MANNING: Ash Lal.

7 DR. LAL: Here.

8 CDR. MANNING: Shawn McCandless.

9 DR. MCCANDLESS: Here.

10 CDR. MANNING: From the National Institute  
11 of Health, Melissa Parisi.

12 DR. PARISI: Here.

13 CDR. MANNING: And Chanika Phornphutkul.

14 DR. PHORONPHUTKUL: Here.

15 CDR. MANNING: And for our organizational  
16 representatives, from the American Academy of Family  
17 Physicians, Robert Ostrander.

18 DR. OSTRANDER: Here.

19 CDR. MANNING: From the American Academy of  
20 Pediatrics, Debra Freedenberg.

21 DR. FREEDENBERG: Here.

22 CDR. MANNING: From the American College of  
23 Medical Genetics, Cindy Powell.

24 DR. POWELL: Here.

1 CDR. MANNING: From the American College of  
2 Obstetricians and Gynecologists, Steven Ralston.

3 (No response)

4 CDR. MANNING: From the Association of  
5 Maternal and Child Health?

6 (No response)

7 CDR. MANNING: From the Association of  
8 Public Health Laboratories, Susan Tanksley.

9 DR. TANKSLEY: Here.

10 CDR. MANNING: From the Association of State  
11 and Territorial Health Officials, Scott Shone.

12 DR. SHONE: Here.

13 CDR. MANNING: From the Association of  
14 Women's Health, Obstetric and Neonatal Nurses, Shakira  
15 Henderson.

16 (No response)

17 CDR. MANNING: From the Child Neurology  
18 Society, Margie Ream.

19 DR. REAM: Here.

20 CDR. MANNING: From the Department of  
21 Defense, Jacob Hogue.

22 (No response)

23 CDR. MANNING: From the Genetic Alliance,  
24 Natasha Bonhomme.

1 Ms. BONHOMME: Here.

2 CDR. MANNING: From the March of Dimes,  
3 Siobhan Dolan.

4 DR. DOLAN: Here.

5 CDR. MANNING: From the National Society of  
6 Genetic Counselors, Cate Walsh Vockley.

7 MS. WALSH VOCKLEY: Here.

8 CDR. MANNING: And from the Society for  
9 Inherited Metabolic Disorders, Sue Berry.

10 DR. BERRY: Here.

11 CDR. MANNING: Thank you, and that concludes  
12 our roll call. And now, I just have a couple of  
13 reminders for folks. In regards to conflict of  
14 interests, just as a reminder, this is an Advisory  
15 Committee to the Secretary of Health and Human Services.  
16 You should consider recusing yourself in all matters  
17 likely to affect the financial interest of any  
18 organization with which you serve as an officer, a  
19 director, a trustee, or general partner, unless you are  
20 also an employee of the organization, or unless you have  
21 received a waiver from HHS authorizing participation.

22 All Committee meetings are open to the  
23 public. Meetings and agenda topics are announced in the  
24 Federal Register so that the public has the opportunity



1 to participate in meeting discussions. If the public  
2 wish to participate in the discussion, the procedures  
3 for doing so are published in the Federal Register  
4 and/or announced at the opening of a meeting.

5 For the January meeting, in the Federal  
6 Register notice, we noted that there will be two public  
7 comment periods, one today and one tomorrow. Only with  
8 advance approval of the Chair or DFO may public  
9 participants question Committee Members or other  
10 presenters. Public participants may submit written  
11 statements. Also, public participants should be advised  
12 that Committee Members are given copies of all written  
13 statements submitted by the public.

14 As a reminder, it is stated in the Federal  
15 Register notice, as well as the registration website,  
16 that all written public comments are part of the  
17 official meeting record and are shared with Committee  
18 Members. Any further public participation will be  
19 solely at the discretion of the Chair and the designated  
20 federal officer or the DFO.

21 So, just a reminder for folks, for visitors  
22 here in the building, you should remain here on the  
23 fifth floor. You're not permitted to go upstairs.  
24 There is a cafe with some bites across the way here.

1 There's also a little store with smaller bites to the  
2 left there.

3 For bathrooms, there are four bathrooms here  
4 easily accessible on this floor. There is one to the  
5 right and to the left near the cafe, and there's also  
6 one to the right and the left just behind you here.

7 For those of you who are joining via  
8 webinar, the audio will come through our speakers.  
9 There's also a call-in option if for some reason your  
10 audio on your computer speaker isn't- working.

11 Committee Members and organizational representatives,  
12 you should've received a special panelist link to log  
13 in. Please speak clearly and remember to state your  
14 name in order to ensure proper recording for the  
15 Committee transcripts and minutes.

16 And this note applies for those folks that  
17 are in person as well. Committee Members and  
18 organizational representatives, and for the folks that  
19 are providing your public comment, please speak clearly  
20 into the mike and state your name and your organization.

21 If you're having technical difficulties,  
22 please try reopening the webinar or using a different  
23 browser, or in your registration link there is an email  
24 address you can contact for assistance.

1           And I have one last note, the future meeting  
2 dates for the rest of 2024 there will be meetings that  
3 will be either in-person or hybrid May 9th through the  
4 10th, August 8th through the 9th, and November 14th  
5 through the 15th. You can refer back to the ACHDNC  
6 website for more detailed information about the upcoming  
7 meetings and whether they'll be in person or hybrid.  
8 And now I'll turn it back over to Ned.

9           DR. CALONGE: Thanks, Leticia. As you're  
10 aware, the Committee spent a significant amount of time  
11 at the last meeting, and last year, assessing our  
12 processes. At the November meeting, we hosted four  
13 listening sessions to gather diverse stakeholder input  
14 about our nomination and review process, received a lot  
15 of great, thoughtful feedback, and I want to thank  
16 everyone who participated.

17           The common theme heard across the listening  
18 sessions was that our process of nominating a condition  
19 for the RUSP is difficult and burdensome and it doesn't  
20 consider some factors that we know are important to  
21 families. After thoughtful deliberation, the Committee  
22 decided there was a need to update the Committee's  
23 evidence nomination and review processes.

24           We decided on the basis of that, on a short

1 delay on accepting new conditions until May of 2024 in  
2 order to solicit additional feedback from stakeholders  
3 and to giving ad hoc topic groups consisting of  
4 stakeholder and Committee Members to look at our  
5 processes. I appreciate all those who participated in  
6 these meetings and the input they provided.

7           This afternoon I'll update the Committee and  
8 everyone here on the outcomes of these meetings. As a  
9 reminder, during this time HRSA staff and the Committee  
10 Chair, myself, remain available to provide technical  
11 assistance to potential nominators on core elements that  
12 are needed for nominations, such as the need for  
13 published data on the newborn screening tests,  
14 confirmation tests, short and long-term follow-up,  
15 treatment, and utility of identification  
16 pre-symptomatically versus through clinical  
17 ascertainment.

18           The previously nominated conditions that are  
19 in the evidence review process, which include Krabbe and  
20 Duchenne Muscular Dystrophy will follow the current  
21 process.

22           As many of you are aware, the National  
23 Academies of Sciences, Engineering, and Medicine is  
24 conducting a study examining the current landscape of

1 newborn screening systems and processes. Their search  
2 will also consider sustainable adoption of screening for  
3 new conditions using new technologies. The NASEM  
4 Committee will make recommendations for future  
5 improvements that help modernize newborn screening to be  
6 adaptable, flexible, coordinated, communicative, and  
7 capable of efficient and sustainable adoption of  
8 screening for new conditions with new technologies, as  
9 well as an equitable public health program from which  
10 all infants will benefit.

11           The next slide is based on a presentation by  
12 Alyssa Bank from the Office of Women's Health on Friday,  
13 January 26th. NASME's work will focus on the following.  
14 Examine the RUSP review and recommendation processes in  
15 light of existing and emerging technologies and consider  
16 how the Committee's evidenced-based review process  
17 currently works and if additional factors are needed to  
18 better understand harms and benefits and to  
19 anticipate- potential increase of nominated condition.

20           Next, examining state and federal capacities  
21 to strengthen screening processes and implementation of  
22 screening for new conditions added to the RUSP. Next,  
23 review existing and emerging technologies that would  
24 permit screening for new categories of conditions, then

1 research technological and infrastructure needs to  
2 improve diagnosis, follow-up, and public health  
3 surveillance. And finally, review NBS data collection  
4 processes for tracking disease prevalence, improving  
5 health outcomes, conducting longitudinal follow-up,  
6 ensuring health equity, defining the natural history of  
7 conditions that can be screened for, and measuring  
8 quality of life.

9           NASEM selected volunteers to serve as  
10 Committee Members for the study. They received over 250  
11 nominees with diverse expertise as patients and family  
12 with lived experiences, state based NBS public health  
13 programs, clinic care, existing and emerging  
14 technologies, legal and bioethical implementations of  
15 newborn screening, and health care service delivery and  
16 payment, just to name a few of the areas of expertise.

17           We plan to have a robust engagement with the  
18 public to gain diverse perspectives, hosting virtual  
19 focus groups, public comment sessions at virtual  
20 information-gathering meetings, and opportunities for  
21 written comment. The first meeting of this Committee  
22 was this past Friday, January 26th. You can sign up for  
23 email updates and get details on further engagement  
24 plans. You can also submit written statements to the

1 Committee via the study's website and via email at  
2 newbornscreening@NAS.edu.

3 I would also like to update everyone on  
4 HRSA's Co-Propel Grants. So, the grant notice of  
5 funding opportunity or NOFO is open. The NBS Co-Propel  
6 Program builds on previously funded HRSA grants to  
7 strengthen collaborations between state, territorial,  
8 and public health agencies and with NBS partners, such  
9 as universities, nonprofits, or other institutions with  
10 expertise in newborn screening in order to achieve a  
11 common goal to improve access to services and outcomes  
12 for children identified with the heritable condition  
13 identified through NBS, so they are healthy, growing,  
14 and thriving.

15 The grant opportunity will close on February  
16 23rd of this year and there's a technical assistance  
17 webinar scheduled for Wednesday, January 31, 2024, from  
18 2:30 to 3:30 p.m., Eastern Time.

19 I want to thank Committee Members for  
20 reviewing the November minutes. We've had some  
21 Committee Members provide comments on the minutes and  
22 we're going to revise those after this meeting is over  
23 based on their input and then share updated versions  
24 with the Committee that we can review and adopt

1 tomorrow.

2 I'd like to talk a little bit about our  
3 topics for today. We're going to have presentations  
4 focusing on families and then after lunch we'll have  
5 public comments. After that will be an update from the  
6 ERG on Duchenne Muscular Dystrophy evidence-based  
7 review, then I'll provide a proposal for the public  
8 health assessment for the decision matrix and end the  
9 day with a discussion about our nomination and  
10 evidence-based review processes. So, it'll be a heavy  
11 presentation day and hopefully a great discussion day as  
12 well.

13 Then to preview tomorrow, the main focus  
14 will be on Krabbe disease, starting the morning with  
15 public comments, then a presentation from the ERG on the  
16 expedited evidence review for Krabbe, a Committee report  
17 from the Committee liaisons on Krabbe, and then a vote  
18 on adding Krabbe disease to the RUSP. We'll end the day  
19 with updates from APHL, the next steps program.

20  
21 **Family Outcomes of Newborn Screening:**  
22 **Project Overview Update**  
23

24 So, with that, I would like to launch into



1 the agenda for today. And sorry if I went fast, but I'm  
2 right on time, which is good. And I'm excited to  
3 welcome our first speaker, Dr. Don Bailey for RTI. He's  
4 going to talk about his work on family outcomes in  
5 newborn screening. You'll remember that last year we  
6 discussed family outcomes, and it continues to be a  
7 topic of great interest for the Committee.

8           As a result, HRSA funded APHL, who's working  
9 with RTI to assess domains of family outcomes in  
10 considering what should be measured for quality for life  
11 for individuals and families identified with heritable  
12 conditions through newborn screening. Dr. Bailey is a  
13 distinguished Fellow, RTI International, where he's a  
14 member of RTI's Genomics Translation Research Center.  
15 From 2011 through 2017, he served as a voting member on  
16 the Advisory Committee on Heritable Disorders in  
17 Newborns and Children.

18           Currently, his work focuses on the future of  
19 newborn screening, having published several recent  
20 papers on how newborn screening can prepare for a future  
21 of new, transformative treatments in genome sequencing.  
22 He's a senior science advisor for Early Check, a  
23 statewide research project to help prepare newborn  
24 screening for new conditions and new technology with a

1 current focus on whole genome sequencing.

2 And so, I see you made your way up to the  
3 podium, looking forward to your presentation.

4 DR. BAILEY: Thank you, Dr. Calonge, and  
5 thank you so much for having us here again today. I  
6 think I speak on behalf of Aaron and Sara and really so  
7 many families with children who've been impacted by  
8 newborn screening. We hope to be, and we appreciate  
9 having a morning devoted to families. This is really a  
10 great opportunity for us, and we thank you. Thank you  
11 for that opportunity.

12 So, I'll be describing the beginnings of a  
13 project called Family Outcomes in Newborn Screening,  
14 project background, and overview. This is funded  
15 through HRSA, by HRSA through a cooperative agreement  
16 with APHL. So, I'm speaking on behalf of our team  
17 today, so Elizabeth Reynolds and Melissa Raspa are here  
18 in the audience today. You may remember that Elizabeth  
19 joined me when we spoke last time we were here about our  
20 early intervention and newborn screening work.

21 So, just to tell you a little bit about what  
22 I'm going to tell you, first, some summary points.  
23 Although newborn screening focuses mostly on benefits to  
24 the child, families certainly benefit as well. I think

1 we all know that. Really, very little work has been  
2 done to assess family outcomes of newborn screening and  
3 there's really no agreement on what those outcomes will  
4 be.

5           We can talk about quality of life. We can  
6 talk about confidence in child rearing. We can talk  
7 about knowledge of your child's condition, but there's  
8 not really been common agreement on what are the desired  
9 outcomes for families. We know what those outcomes are  
10 for children, for the most part. So, our team has a  
11 good bit of prior experience in developing an assessment  
12 tool to document family outcomes of Early Intervention  
13 and so we are building on those experiences to develop a  
14 tool and process to assess family outcomes in newborn  
15 screening.

16           Of course, we believe that such an  
17 instrument could be one important component to assess  
18 long-term outcomes in newborn screening in terms of  
19 benefits for families. Most of the focus today is going  
20 to be how we got to this point because we're just  
21 engaged in the very beginnings of this process, but I  
22 think you'll see from how we got here with our previous  
23 work. We'll be following much of the same protocol and  
24 processes that we did then.

1           Let me just begin with a couple of  
2 definitions. So, I start with the family-centered  
3 approach. Now, we can think back on 40 years ago - the  
4 Maternal and Child Health Bureau, Merle McPherson and a  
5 number of people back then were really focused on should  
6 services be family centered because the essential  
7 assumption is that young children cannot be viewed apart  
8 from their families, nor can services be provided  
9 without a consideration of the family context, so  
10 families really aren't clients receiving services, but  
11 are partners in making decisions about goals and  
12 activities. And you can see I've listed the core  
13 principles. These are actually pulled from an article  
14 that Merrill and others wrote many, many years ago.

15           Those core principles are really focusing on  
16 family strengths and diversity and decision-making and  
17 empowerment. So, we hope that newborn screening is also  
18 a family centered and I think that's an interesting  
19 question for us to be asking and maybe some days we can  
20 focus on that.

21           So, then a couple of other definitions  
22 related more specifically to our project, and I'm going  
23 to differentiate family satisfaction versus family  
24 outcomes. So, satisfaction is to the extent to which

1 families are satisfied or happy with various aspects of  
2 the program. Like I'm happy with the amount of services  
3 I received, or I think it's of high quality or this  
4 person was really great in supporting me, so it's an  
5 evaluation of the process.

6 An outcome is a benefit that families  
7 receive as a result of services. It's not with the  
8 receipt of services, but it's what happens as a result  
9 of those services. So, just an example of  
10 differentiation, so satisfaction might be how a family  
11 feels about the quality of the information provided  
12 about their child's health condition and the outcome is  
13 how well they actually understand the information, the  
14 nature and consequences of their child's health  
15 condition.

16 So, why would we be interested in assessing  
17 family outcomes? Well, the name of this Committee has  
18 heritable disorder in its name. Heritable disorders  
19 are, by definition, family disorders, not that the  
20 family is disordered, but you know what I'm saying.  
21 Child well-being really can't be fully understood  
22 without considering family context, so it's really a  
23 across the childhood age, but especially for infancy.

24 Of course, families pay critical roles in

1 their child's health and development and they're going  
2 to spend more time with their child than any of us  
3 individually or collectively are going to be and so  
4 supporting families and having them experience positive  
5 outcomes, not only helps families, but has direct  
6 benefits to children. So, documenting whether and how  
7 newborn screening and follow affect family outcomes is  
8 really essential for understanding long-  
9 term- consequences.

10           So, how did we get there? So, this was a  
11 long time ago, back in the nineties. Congress was  
12 concerned that early intervention and preschool programs  
13 for children with disabilities had no evidence based,  
14 and so they wanted to begin -- well, not a strong  
15 evidence based for the outcomes of the programs  
16 specifically, so they began by funding several  
17 longitudinal studies.

18           One was called NEILS, the National Early  
19 Intervention Longitudinal Study. It was a great  
20 project. It was sample of over 3,000 nationally  
21 representative sample of children who entered Early  
22 Intervention programs who were followed until  
23 kindergarten. We really needed a study like that, just  
24 editorializing, for our newborn screening. We needed

1 that kind of systematic, longitudinal follow-up  
2 investigation.

3           So, this was a funding to SRI International.  
4 I was a consultant on the project, and I lead the  
5 families' outcomes component. So, we published three  
6 papers over the first few years of that project in  
7 pediatrics, focusing on what are families' first  
8 experiences with Early Intervention, what are the  
9 outcomes for families at 36 months, and how do you model  
10 what effects families' outcomes.

11           And we know that formal supports the things  
12 we do as professionals are important. We've also  
13 clearly found that over the years many, many different  
14 studies is that informal supports are also critical to  
15 family success. We mostly did a study also looking at  
16 family outcomes in Early Intervention based on that  
17 database and did another database based on data we  
18 gathered from two states.

19           So, near the completion of the NEILS Study,  
20 the U.S. Department of Education then funded something  
21 called the Early Childhood Outcome Center. Again, like  
22 we don't have really clear agreement on outcomes for  
23 families for newborn screening, there is no clear  
24 agreement on outcomes for children or for families in

1 Early Intervention and the government couldn't prescribe  
2 states to all use the same assessment instrument for  
3 Early Intervention because just like newborn screening  
4 it's a state-based enterprise, but the National Center  
5 to help articulate what some of those outcomes might be  
6 and to help the U.S. Department of Education decide what  
7 things should states be recording every year in their  
8 annual report to Congress.

9           So, they asked us, the Early Childhood  
10 Outcome Center, and again, this was a collaboration of  
11 SRI International, Kathy Hebbeler and her colleagues.  
12 There were two activities: developing a set of child  
13 outcomes that could reported and then a set of family  
14 outcomes, and I and my team lead the family outcomes  
15 component.

16           So, how did we get there? I can't go into  
17 all the processes, but we didn't just close our door and  
18 think of what these outcomes might be. We engaged in a  
19 series of consensus-building activities, collaborating  
20 with a lot of different entities, individuals, and  
21 groups, through technical assistance, both to us and  
22 from us. Through research, and through recommendations.  
23 So, the first task was again to identify a broad set of  
24 family outcomes. We identified a range of stakeholders:



1 parents, advocacy groups, state agencies, and  
2 researchers.

3           We got input from all of these groups  
4 through a variety of different means: surveys, focus  
5 groups, conference calls. We had advisory boards; we  
6 did literature review. I'm going through this because  
7 this is the process, we'll be going through with  
8 assessing family outcomes of newborn screening.

9           And after many, many months of all of this  
10 we came up with five simple outcomes. At the end of  
11 Early Intervention, we would hope that families would  
12 understand their child's strengths, needs, and special  
13 abilities and special needs. They would know their  
14 rights and be able to advocate effectively for their  
15 children. They'd be confident in their ability to help  
16 their child develop and learn. They would have support  
17 systems and access to their community. So, an example  
18 of the overall item families can help their child  
19 develop and learn that knowing new styles of effective  
20 parenting that provide nurturing and stimulating  
21 environment, use special techniques to enhance learning,  
22 modify the home environment routines, et cetera.

23           So, after developing those outcomes, we  
24 published a paper in great detail describing the process

1 of how we got to those outcomes and what was the  
2 rational for each, and that's what we hope to have by  
3 the end of this particular funding period for this  
4 project is a comprehensive paper saying here's how we  
5 got to and here's what our recommendations are for  
6 family outcomes for newborn screening.

7           We went through then several iterations of  
8 how you would actually measure that and so we developed  
9 an instrument called the Family Outcome Scale. It was  
10 based on the five family outcomes, the self-report  
11 instrument completed by families. Of course, it would  
12 be very inappropriate for us to go in and say, well, I'm  
13 going to do an assessment of this family and see how  
14 they're doing from my perspective. It's from their  
15 perspective. We want to know how they're doing, so we  
16 developed items through an extensive literature review  
17 again and feedback from parents and professionals.

18           We had two different -- actually, probably  
19 more than two different iterations with modifications  
20 based on data and feedback. We published the initial  
21 version in 2006. We did a revised version in 2011. The  
22 instrument is posted on our website and it's now freely  
23 available in 16 languages. This is not something we  
24 sell. It's just something that's available and for

1 programs to use.

2           So, version one looked like this, and we had  
3 both in English and a Spanish version of it. This says  
4 when your child is growing and learning how much does  
5 your family understand about your child's development  
6 and we had a one to seven scale. One is just beginning,  
7 seven is we understand a great deal, and there were  
8 blank middle points there in case you thought you may be  
9 in between a one and a three.

10           So, that version was difficult for a lot of  
11 families to complete. And Melissa did a study showing  
12 that families are much more likely to report one, three,  
13 five, or seven than the interim points. Texas  
14 Department of Early Intervention programs funded us to  
15 actually do a revision of the scale, so we wanted to  
16 create a new format that would be easier for parents to  
17 use.

18           We wanted to revise and expand the survey  
19 items to provide more information that states could use  
20 in planning program improvement, and we really had not  
21 done a psychometric study of the scale before then, and  
22 so this was the purpose of this study.

23           So, this is the current version of the  
24 Family Outcomes Scale revised. You probably can't read

1 that, so I'll just give you the high-level picture of  
2 the black bars are the five family outcomes. The  
3 lighter lines are the items that go under each of those  
4 outcome areas, and family rate themselves on -- so like  
5 we know the next steps for our child's growth and  
6 learning and one in the continuum is not at all and the  
7 other end of the continuum is completely. It's an  
8 agree/disagree type of format.

9           It's hard to get much more quantitative than  
10 that, but that's really how the scale is organized and  
11 so on one page these are outcomes. The U.S. Department  
12 of Education really, really wanted states to report  
13 satisfaction data, so we developed in a second set of  
14 items on the second page here. We don't call it  
15 satisfaction. It's perceived helpfulness of Early  
16 Intervention and the set of items that we did with that  
17 as well.

18           So, what do we learn from research using the  
19 Family Outcome Scale and then the revised version?  
20 Well, first, as you can imagine, a lot of different  
21 things need to be considered when developing an  
22 assessment tool. How do you word the items? We did  
23 detail cognitive interviews where we set down with  
24 parents and went through each item and said what does

1 this item mean to you. If I asked you this question,  
2 how would you think about responding to it?

3 We have learned that it has very robust  
4 psychometric properties. There's wide acceptance across  
5 states now in reporting back to the U.S. Government.  
6 Families generally report positive outcomes, which is  
7 good, but there's variability. We know that  
8 family-centered practices, as I defined very early in  
9 the presentation, are highly associated with outcomes.

10 Unfortunately, as you would expect, but you  
11 would hope not to be the case, but race, ethnicity, and  
12 income and language still are related to variability and  
13 attainment of outcomes, and there's been great interest  
14 from this international perspective.

15 So, Melissa and I published a paper on  
16 measuring family outcomes, really talked a lot about all  
17 the complications in issues in developing such a scale.  
18 So, this is from a 2020 report. So, states are required  
19 to report family outcomes every year to the U.S.  
20 Department of Education and they include that in the  
21 report to congress. So, in this map it reports what  
22 instruments states are using.

23 So, some states are still using the original  
24 version because they can choose whatever they want to

1 measure the outcomes. They just have to report the  
2 outcomes. This shows there are some states that are  
3 using our original scale and some that are using the  
4 revised scale, so the purple states are all using the  
5 revised scale and the green states are using our  
6 original scale. So, you can see that, well more than  
7 half of the states are using this instrument, in one way  
8 or the other, to report their outcomes to the  
9 government.

10           There have been several international  
11 studies. Colleagues in Singapore did a psychometric  
12 evaluation of the scale in Singapore. A couple years  
13 ago there was a nice article from Australia looking at  
14 the predictors of family outcomes using this instrument  
15 from children with an autism spectrum disorder.  
16 Elizabeth and I are working on a paper based on  
17 longitudinal study of outcomes experienced by families  
18 who have a child with Congenital Zika Syndrome as part  
19 of our NICHD funded Zika Project. It's an interesting  
20 concept, though, to think about longitudinal analyzes  
21 because if you think about a longitudinal analysis of  
22 children, you would expect growth over time, right? And  
23 so, constant attainment of new developmental outcomes  
24 and you would expect, hope for an upward trajectory.

1           For families, it could be more of an up and  
2 down thing, so you think about, I know a lot about my  
3 child's disorder. You might know a lot about it at the  
4 beginning, when you first get information, you feel  
5 pretty confident about that, and then there's a new  
6 discovery made. And you go, wow, now I need to  
7 understand something different. And anxiety can be up  
8 and down as well, and so it's thinking about it from a  
9 longitudinal perspective is especially challenging.

10           So, here are our primary goals. I'm to what  
11 we're actually going to be doing here is to develop a  
12 framework and identify domains of assessing family  
13 outcomes for newborn screening like we did before.  
14 We're going to be using multiple sources of input and  
15 engagement to identify an initial set of outcomes.  
16 We're doing an extensive literature review, we're  
17 meeting with stakeholders from a variety of different  
18 groups, and we have an advisory board that we're  
19 establishing.

20           Once we come up with a draft set of  
21 outcomes, these will be posted for input from anybody.  
22 So, there will be a survey with opportunities for  
23 quantitative and qualitative feedback, there will be  
24 direct outreach to parent and professional

1 organizations, and we'll have ongoing advisory board  
2 feedback.

3 We'll finalize then a set of outcomes, the  
4 recommended outcomes, ideally endorsed by diverse  
5 stakeholders, and then we'll write an article, like we  
6 did before, describing those outcomes, the justification  
7 for them, and deeper rationale for each. We'll begin  
8 work then to determine the next steps in instrument  
9 development and application.

10 The funding is only eight or nine months for  
11 this phase of work and so we thought maybe we would have  
12 a draft instrument by the end of this project. We now  
13 realize that the community engagement that we need to do  
14 and the discussions we need to have identifying these  
15 outcomes and how they might fit into an overall  
16 longitudinal assessment like what you were just  
17 mentioning, Dr. Calonge, will be very important for us  
18 to think about.

19 So, there we are. That's where we are.  
20 Thanks very much for listening and for the opportunity  
21 to talk and I'll be glad to answer any questions.  
22

23 **Committee Discussion**



1  
2 DR. CALONGE: Thanks, Dr. Bailey. I wonder  
3 if you're willing to stand up at the podium  
4 while we have our discussion.

5 DR. BAILEY: Sure.

6 DR. CALONGE: I appreciate it. So, we're  
7 going to begin Q&A and Discussion. We're going to start  
8 with Committee Members first and then move on to our  
9 organizational representatives. For folks in the room,  
10 if you could either raise your hand or put your tents up  
11 so I can see it, I'll try to keep you in order, and I  
12 see you already, Jennifer. And then if you're online,  
13 if you find and use the "raised hand" feature on the  
14 Zoom screen, we'll call on you as well. And let's get  
15 started with Jennifer.

16 DR. KWON: Jennifer Kwon, Committee Member  
17 from Wisconsin. So, I'm really interested to look at  
18 the data from Wisconsin, actually. You've motivated me  
19 to do that. I was curious if there's missing data.  
20 There must be families who refuse to participate or  
21 don't participate but is there any way of tracking them  
22 to see -- and the reason I ask this, of course, is  
23 because of the newborn screening portion of it. That  
24 would be very important, right, as an outcome.

1 DR. BAILEY: And it's going to be more  
2 challenging in newborn screening, right, because Early  
3 Intervention is a national program, it's a single  
4 program, it has many different components to it. But  
5 it's a program where children are tracked for three  
6 years until they reach 36 months of and then they move  
7 into preschool Special Education programs and so there's  
8 a natural tracking process there. But families, it's  
9 voluntary for families to fill out this instrument and  
10 so there will be missing data for sure. Is that what  
11 you're asking?

12 DR. KWON: That's part of it. And you know,  
13 I don't really think of Early Intervention as being  
14 strictly a national program.

15 DR. BAILEY: Sure.

16 DR. KWON: That the administration is not  
17 only state-based, but it's county-based.

18 DR. BAILEY: Yes.

19 DR. KWON: And I think that many people who  
20 refer patients for Early Intervention have seen this  
21 directly, that depending on the county you live in, the  
22 services you get can be markedly different. And so,  
23 part of my interest in Wisconsin is really trying to see  
24 where those data live and so I think that -- yes, I' not

1 sure which would be harder, but it'll be interesting to  
2 look at. Thank you.

3 DR. BAILEY: In Early Intervention, yes,  
4 you're right. There are county-based programs within  
5 states. They all operate under the state's Early  
6 Intervention Policy Program and that program operates  
7 under national guidelines. It's just like newborn  
8 screening in the sense that the federal government has  
9 guidelines. It's a little stricter because there's a  
10 lot of money that goes to states for Early Intervention  
11 programs and to get that money they have to report, they  
12 have to submit all the data related to this report for  
13 Congress, so states have a lot invested in getting the  
14 data, but it's up to families to provide it.

15 And counties provide it to states, so it  
16 gets rolled up to states, and then states get the data  
17 and roll it up. There are just a few -- you only need to  
18 report three things to the federal government, so they  
19 roll up items for whatever surveys they use to answer  
20 those questions. But because with newborn screening we  
21 have a problem with follow-up already, you know,  
22 tracking families after three years is going to be a  
23 really, really big issue. Yes, thanks.

24 DR. CALONGE: Next, online we have Kamila.

1 DR. MISTRY: Thanks, Ned. Thanks, Don.  
2 That was a great presentation and very important work.  
3 I just want to follow up on something you said in your  
4 presentation around the variation that you found in  
5 terms of race ethnicity and other demographic  
6 characteristics or really even thinking of this a little  
7 bit broadly through an equity lens and maybe thinking  
8 about social determinants of health and other ways we  
9 can think about this.

10 How is that going to impact the way we think  
11 about the stakeholders who are coming to the table, and  
12 I just want to make that connection and also learn a  
13 little bit more about what you learned from your prior  
14 work.

15 DR. BAILEY: Right, so there's several  
16 things embedded within that question, so in terms of our  
17 prior work, we found that a lot of different factors  
18 affect family outcomes. And so, certainly,  
19 family-centered practices do, certainly what  
20 professionals do with families, but we have found that  
21 families from non-white populations and from low-income  
22 groups would statistically have lower outcomes, at least  
23 then did, and I'm guessing that's still going to be the  
24 case because of systemic inequalities and problems in

1 our system, just as you've already mentioned.

2           So, we're hoping for a couple things. One  
3 is to make sure that in the context of our engagement  
4 and outreach that we're engaging as diverse a  
5 stakeholder group as we can get to make sure we have the  
6 right inputs. It does raise an interesting question  
7 about whether the same set of items would apply to  
8 everybody and so we don't know the answer to that, but I  
9 think we'll learn some through our processes.

10           But gathering these data, and especially if  
11 we can do it in a standardized way, can ought to lead to  
12 program improvement. That's the only way you can really  
13 start making changes as the ones you described if you  
14 don't have the data to help inform that.

15           DR. MISTRY: Just to follow up really quick,  
16 I mean I think some of it is also through whether those  
17 questions in themselves are -- how do I say this? Are  
18 we asking the right questions and really critically  
19 thinking about that within that stakeholder period and  
20 making sure that we're asking questions that are valid  
21 to all populations, and I think that's where it's really  
22 important we do that and spend the time to make sure  
23 that particularly within the variation that you've seen.

24           DR. BAILEY: Right, and so you can do that

1 in a couple ways. One is through engagement with the  
2 input on actually developing the items, then secondly,  
3 doing studies that look at outcomes as a function of a  
4 variety of different factors. So, we found that in  
5 Singapore, for example, the psychometric properties  
6 worked very well, but in other situations we've  
7 found -- well, the psychometric properties in other  
8 countries seem to be working quite well, but the  
9 question of outcomes and how that relates to a program  
10 improvement across the nation is going to be really  
11 critical.

12 DR. CALONGE: Chanika?

13 DR. PHORNPHTKUL: Chanika Phornphutkul,  
14 Committee Member. Thank you very much for the wonderful  
15 presentation.

16 DR. BRAILEY: Thank you.

17 DR. PHORNPHTKUL: I wonder since many of  
18 the children who had newborn screening were enrolled in  
19 Early Intervention. Is there a way to look back a little  
20 bit to give us some clue or it's just too broad? Thank  
21 you.

22 DR. BAILEY: That's a really good  
23 observation. So, many children who've been, and as we  
24 reported earlier, many children with newborn screening

1 conditions actually do qualify for Early Intervention  
2 and many of them would be in Early Intervention  
3 programs. Getting access to that data would be really  
4 hard because the Early Intervention programs don't  
5 necessarily code the specific disorder and so whether  
6 they're eligible in terms of having a developmental  
7 delay or a condition that could lead to a developmental  
8 delay.

9                   But that's an interesting point and I think,  
10 ultimately, and we've talked about this since the last  
11 meeting about trying to come up with some better ways to  
12 integrate newborn screening and Early Intervention.  
13 That could be one way to do it is look at those data  
14 systems.

15                   DR. CALONGE: Michele?

16                   DR. CAGGANA: Thanks for that presentation.  
17 Michele Caggana. I know that you've had some  
18 discussions with some of the regional genetics networks,  
19 but I'm wondering if you could just describe a bit like  
20 in real practice, boots on the ground, how you ensure  
21 that you're getting a true voice from families because  
22 we all know the families that we work with, but how do  
23 you find and engage those other families to give you a  
24 complete picture?

1 DR. BAILEY: So, that's an important  
2 question, right? So, we're working through some of the  
3 regional genetics' networks. They're organizing focus  
4 groups for us and potentially doing some surveys. We'll  
5 be reaching out to a variety of different parent  
6 organizations, but you're right, the people that are  
7 affiliated with those groups don't necessarily represent  
8 the nation at large. So, I don't have a good answer for  
9 you yet, Michele, but we are working to try to figure  
10 out how we would get as many voices as we can and then  
11 provide as much opportunity for input once we've done  
12 some initial development work.

13 DR. CALONGE: Shawn?

14 DR. MCCANDLESS: Shawn McCandless, Committee  
15 Member. I think my question is partly answered and  
16 partly not. I have two questions. The first is who do  
17 you define as the stakeholder groups because it seems to  
18 me that newborn screening is a different thing than  
19 Early Intervention where you've got people who are  
20 engaged in the intervention that that's your stakeholder  
21 group.

22 There would appear to be multiple  
23 stakeholder groups related to newborn screening outcomes  
24 and I would like to understand better the strategy for



1 defining and recruiting from each of those stakeholder  
2 groups. The second question is how do you propose to  
3 separate out, satisfaction around newborn screening from  
4 satisfaction around follow-up and care and management  
5 and early intervention and all the other things that  
6 happen after newborn screening?

7 DR. BAILEY: So, I'll take on the first one  
8 first because it's the hardest question, right? I mean  
9 because newborn screening, once you finish the lab work,  
10 it's a scatter shot, right? You go to this clinic or  
11 that clinic, you get services here and there and it  
12 changes over time. You've got your regular  
13 pediatrician, you've got specialized treatment services,  
14 it becomes not so much a system anymore and so how do  
15 you evaluate -- can you evaluate the system and at what  
16 point in time?

17 Are we going to say, okay, at 36 months and  
18 24 months where are families who've been identified  
19 through newborn screening and are we evaluating their  
20 experiences with their specialty clinics, with their  
21 genetic counselors, with their physician? So, what  
22 we're trying to do is focus on, at first, outcomes  
23 irrespective of a particular service context and just  
24 say where are families now, right at this point in time.

1           It's going to be very hard to answer the  
2 question of evaluating the newborn screening system,  
3 right, because it's really not a system. I mean Early  
4 Intervention is hard enough, but it is more of a system  
5 than newborn screening it, at least over the first three  
6 years of life.

7           There are a lot of stakeholders too in Early  
8 Intervention. You think of physical therapists,  
9 occupational therapists, speech and language  
10 pathologists, early childhood special educators, case  
11 managers, counselors, family support professionals, and  
12 pediatricians, and so there are stakeholders there  
13 already. We're putting together an expert advisory  
14 board right now and so we have representatives from a  
15 number of the key stakeholder groups in newborn  
16 screening, but there are so many. How do we do that  
17 with all of those people? And so, in part, we'll be  
18 getting initial input, but the biggest thing is once we  
19 have an initial draft, it's just spreading the draft  
20 down as widely as possible through advocacy groups,  
21 through professional organizations.

22           The last time we did that I can't remember,  
23 so we got a thousand and something suggestions for items  
24 and we were doing Q source on the floor and looking at

1 how different items came together and really trying to  
2 understand it from different perspective, so your point  
3 is really well taken. Thanks, Shawn.

4 DR. MCCANDLESS: Shawn McCandless, Committee  
5 Member. Can you specifically tell us who are the groups  
6 that you're trying to measure outcomes within? Because  
7 what I've heard is a lot about individuals that have  
8 positive newborn screens and turn out to be affected  
9 with the condition of interest. It seems to me another  
10 obvious group that it would be very important for us to  
11 understand, is individuals that have a positive newborn  
12 screen, but turn out not to have an underlying condition  
13 for which the screening test was intended.

14 And then there's the larger group of  
15 individuals who end up having a negative screen and how  
16 do you propose or what is the plan for ensuring that  
17 there's adequate representation of all three of those  
18 stakeholder groups in the outcomes that you intend to  
19 find?

20 DR. BAILEY: So, I guess I would  
21 differentiate the outcomes themselves from the context  
22 and the populations and so in some ways the instrument  
23 we developed is very agnostic in terms of those kinds of  
24 groups and I would hope that this one would be

1 relatively the same so that it could be used in all  
2 three of those situations. So, you would want to know  
3 what the outcomes were for families who received false  
4 positive results.

5           You would want to know outcomes for families  
6 whose condition wasn't on the RUSP and who experienced  
7 having a child with a particular condition that could be  
8 on the RUSP in the future. Wouldn't that be really  
9 important data for the Committee to consider in terms of  
10 making decisions about whether adding a condition to the  
11 RUSP and I know that Aaron and Sara are going to be  
12 talking about this very issue of how do we weigh  
13 in -- as you know, I've been talking over the years  
14 about how do you bring in the family voice in addition  
15 to public comments? How do we bring in data about  
16 family experiences and outcomes to really help inform  
17 the decision this Committee makes.

18           DR. CALONGE: Jeff?

19           DR. BROSCO: Jeff Brosco, HRSA. And so, it  
20 might help with some of the context, Shawn, and then I  
21 do have a question. So, remember that - was it 20 years  
22 ago, that this Committee started talking about how we  
23 need to look at long--term- follow-up for what's  
24 happening with newborn screening. I think it was Alex

1 Kemper's paper back when he was a young man and since  
2 then there have been reiterations of his papers and more  
3 work. And we at HRSA have funded some long-term  
4 follow--up- programs in newborn screening and they're  
5 putting together some really interesting data and  
6 thinking about this.

7           You will recall that we presented this sort  
8 of three buckets of data where Carla and the CDC folks  
9 are in that first bucket, looking at analyzing data and  
10 the second is follow-up, and long-term- follow-up, where  
11 does that fit in? And we do hope at future meetings to  
12 be able to start saying what might that roadmap look  
13 like, but that's five or ten years out, right? Because  
14 as everyone has been pointing out, there is so much  
15 complexity here.

16           When we start thinking about what those  
17 long-term outcomes might be, well obviously, there's the  
18 individual child and systems--level things, right? So,  
19 maybe connections to Part C. -If you look at the way we  
20 follow the EHDI Program, the newborn hearing screening,  
21 the one 1-36 that everyone hears about, that six--month  
22 outcome is actually connection to Part C, so it's- a  
23 systems level measure, so we can imagine something like  
24 that.

1           In what Don and his team is working on is  
2 what is the outcome measure for families, and as you  
3 pointed out, it could be used in different context. It  
4 could be used in a research context, a continuous  
5 quality improvement approach, and we are agnostic to  
6 what that system looks like because it's still kind of a  
7 dream, but we're trying to move toward it.

8           And this all leads to my question for Don,  
9 which is I heard about outcomes. Are you also thinking  
10 about quality of life because you can imagine that just  
11 how families are doing inherent to themselves is a  
12 worthy outcome of newborn screening.

13           DR. BAILEY: So, that could end up being one  
14 of the domains for this particular instrument. We  
15 consider that in the Early Intervention Program, and it  
16 was the sixth domain at that time. We got huge pushback  
17 from states because they said we can't be responsible  
18 for families' quality of life, and isn't that an  
19 interesting response? But their point was that quality  
20 of life is affected by so many other things that to put  
21 it on the program was a challenging thing for them and  
22 so we didn't have it as a part of the instrument.

23           I couldn't agree with you more. I mean,  
24 that's really the bottom line, isn't it? Everybody

1 wants a good quality of life for families and a good  
2 quality of life for children. There are great scales  
3 out there. There's one item quality of life measure  
4 that you can use is highly predictive and so we could  
5 use something like that. But I think what you're  
6 bringing up, Jeff, is a broader question about what is  
7 the -- I don't like to use the word "battery," but was  
8 it the collection of measures that we would want to look  
9 at in terms of evaluating the system overall?

10           And what we're looking at here is a piece of  
11 that, so child outcomes might be even harder because  
12 you've got Condition A, you're going to be looking at  
13 one set of outcomes. Condition B, you're looking at a  
14 completely different set of outcomes. Here we hope to  
15 have a relatively standard set of outcomes that could be  
16 used across families, recognizing it's not going to work  
17 for everybody.

18           DR. BROSCO: Just a quick follow-up, because  
19 we at HRSA think about this a lot and if there are  
20 13,000 conditions that make up children with special  
21 health care needs, coming up with individual child  
22 measures is surely impossible. But it may be that  
23 family outcome measures are kind of the universal common  
24 denominator that connects. So, if a child has cancer or

1 sickle cell disease or hemophilia, or methylmalonic  
2 acidemia type 1A, yes, they're all going to have  
3 different outcomes, but family well-being might be one  
4 that cuts across all. It tells you how well the system  
5 is working.

6 DR. BAILEY: An overall construct like  
7 understanding your child's condition that can apply to  
8 every one of those conditions you mentioned and everyone  
9 on the RUSP and you can come up with a way to assess  
10 that, the agnostic again, a disease agnostic in some  
11 ways.

12 DR. CALONGE: Christine, I'll get to you in  
13 just a minute, but I wanted to follow up on that because  
14 you came close. The issue about the value of  
15 improvement in the measurement on the scale, so the  
16 reason I bring that up is that ultimately, in my mind,  
17 somewhere down the line these measurements will feed  
18 into Committee decisions trying to balance benefits and  
19 harms and there's always this kind of unspoken harm  
20 about resource utilization and opportunity costs. And  
21 so, the question comes down are you already thinking  
22 about what is the value statement for an improvement in  
23 this score; does that make sense, because we do it for  
24 quality adjusted life years, right?



1           We have a number. Maybe it's not a very  
2 good number, but it's a number that's used in health  
3 care to decide what to fund and what to not fund. So,  
4 it's just a question. Do you see it translating to a  
5 value that we can balance in terms of other things the  
6 Committee looks at?

7           DR. BAILEY: I don't mean to be flip in this  
8 response or to avoid it, but to say that we're trying to  
9 focus right now on the development of the measure and  
10 then what you're just asking is about context. You can  
11 have a measure of quality-of-life years, but what is the  
12 meaningful quality of life years, right? And so, what  
13 we're trying to do is, first of all, figure out you  
14 measure it and then it gets fed into a system that says,  
15 well, for us to make a decision we really need to see  
16 this kind of change or this kind of status, so you start  
17 with the right measure tool.

18           DR. CALONGE: I only brought it up since by  
19 the time the Committee starts thinking about this, I'll  
20 be long gone. Christine?

21           DR. DORLEY: Sure, just a question that I  
22 have regarding the different states using several  
23 different surveys. Do you have any issues with data  
24 quality, and then what incentives are there for states

1 to transition to the survey that you prefer?

2 DR. BAILEY: So, there is no incentive for  
3 them to transition to our particular survey because the  
4 federal government can't require a state. What they  
5 require is them to report every year. There are three  
6 family outcomes that they report and so they can roll up  
7 the answer to those outcomes based on our instrument or  
8 based on any other measure, or if they can develop their  
9 own scale. So, there's no incentive right now for them  
10 to do that.

11 Now, in the new study, we had a standardized  
12 set of questions and those became the focus of a  
13 longitudinal study and so I think we have two really  
14 different kinds of questions here. One is what would  
15 ultimately be a reporting system for newborn screening  
16 programs? If there was one, when would this data be  
17 collected and so forth? And then, the other question is  
18 in the context of a research study. If you were going  
19 to really do a national newborn screening longitudinally  
20 study, you would have to have much more focus on  
21 reliability of gathering the data and using it in a  
22 systematic way with the same instrument.

23 DR. DORLEY: One other thought I had  
24 regarding your outcome. On your Survey Question Two, it

1 talked about we are able to find and use services in  
2 programs. There's a difference in being able to find a  
3 program, but then why don't you use it? And so, in the  
4 African American community, immigrant community there's  
5 a lot of mistrust because you have providers that don't  
6 look like they do. And so, is there any plan to delve  
7 into that a little bit more because that does affect  
8 health outcome because of access and not being able to  
9 in your community take part in that particular service.

10 DR. BAILEY: Right. So, I'm trying to  
11 figure out the best way to answer your question. I  
12 mean, I think again if designed properly the scale would  
13 describe whether or not they feel confident in finding  
14 services. But then, the question is what are the  
15 factors that influence -- and use could be another  
16 thing. We know how to use the services and we access  
17 them. What are the factors that contribute to some  
18 families accessing and using them and others not  
19 accessing and using them? So again, the tool becomes  
20 the vehicle for understanding the questions that you're  
21 asking.

22 DR. CALONGE: Bob, I think you get the last  
23 comment or question.

24 DR. OSTRANDER: Well, thanks. Robert

1 Ostrander, American Academy of Family Physicians, and  
2 it's good to have a family-centered morning here.  
3 Looking at your matrix reminded me a lot of Carl  
4 Cooley's medical home index from 20 years ago, which is  
5 my entree into this world. I was part of that Learning  
6 Collaborative for Children with Special Healthcare  
7 Needs.

8           From then forward, one thing I have heard  
9 from our parent partners over and over again that they  
10 value is that their good days being good days. In other  
11 words, their diagnosis, follow-up, and treatment program  
12 allows them to relax on their good days when they're  
13 just home hanging out and especially on their good days  
14 when they have special events planned. And I wonder if  
15 perhaps the notion of measuring quality of life might be  
16 better assessed by picking one or two narrow measures of  
17 quality of life and including questions like that.

18           And I was a little curious about the fact  
19 that you have a whole support system section and none of  
20 that has to do with the medical support system. It  
21 looks to me like the only medical question in there is  
22 medical and dental needs are met and I wonder if there  
23 should be some more expansion of what medical needs are  
24 means in terms of family outcomes. The parent partners,

1 this isn't my brain, the parent partners I worked 23  
2 years with this, told me that's one of their key things  
3 is being able to relax on their good days and if  
4 something goes south, they know who to call and it's  
5 going to get taken care of.

6 I'm going to throw in a quick second  
7 question just for thought, and that is I'm from a  
8 little, tiny rural area in the DEIJ world. I think  
9 "rurality" is the word that's coming out, needs to be  
10 considered as well because it doesn't get assessed in  
11 terms of the disparities that those in rural areas face  
12 when people are focused on the other areas of disparity.  
13 Thank you.

14 DR. BAILEY: All the questions that everyone  
15 has brought are really important and they point to the  
16 complexity of actually what first might seem a simple  
17 task is not simple at all. Your comment about the  
18 medical components, it's like that that will be more  
19 salient in the newborn screening context than it is in  
20 the Early Intervention context, and so we'll see what we  
21 learn from the gathering of the data process.

22 Your good days and bad days comment made me  
23 think a little bit about some things we've wrestled  
24 with, with the instrument itself. So, since it's a

1 parent's perception the day they fill it out is it a  
2 good day or a bad day and how that affects their  
3 reporting. Because I know I go through days where I  
4 feel like I'm in control of things and the next day I'm  
5 like, oh my gosh, so there are measurement issues and  
6 around both timing and subjectivity of the skill that  
7 are going to be really important to think about. It's  
8 not going to be a perfect instrument by any means, but  
9 we have to start somewhere, I think, because we have  
10 nothing now.

11 DR. CALONGE: I hope everyone will join me  
12 in thanking Don for an excellent presentation and  
13 discussion.

14 DR. BAILEY: Thank you.

15 (Applause)

16 DR. CALONGE: As he sits down, I want to  
17 point out that Dr. Kemper will always be young to me.

18 (Laughter)

19  
20 **Families' Search for Meaning and**  
21 **Value in Rare Genetic Diagnoses**  
22

23 DR. CALONGE: Now, we'll have two  
24 presentations focusing on research related to family

1 perspectives. Both of the presentations serve as  
2 examples of the kind of research the Committee could use  
3 during evidence reviews to better understand family and  
4 other benefits for population level screening of new  
5 conditions. Examples would be especially relevant at  
6 the end today when we start discussing the kinds of  
7 evidence that the Committee can consider when reviewing  
8 that benefit on specific conditions.

9           First, we will hear from Dr. Sara Ackerman  
10 from the University of California, San Fransico. She  
11 will be joining us virtually. Dr. Ackerman will be  
12 sharing her research on families search for meaning and  
13 value in rare genetic disorders. She's an Associate  
14 Professor of Social and Behavioral Sciences at the  
15 University of California, San Francisco, and is a  
16 medical anthropologist work in the interdisciplinary  
17 fields of empirical bioethics and implementation  
18 science.

19           Her research draws on ethnographic methods  
20 to examine social, ethical, and equity issues in  
21 genomics. Dr. Ackerman also investigates parents and  
22 community members' perspectives on health data sharing  
23 and the feasibility of participatory data governance  
24 models. With that, I'd like to turn things over to Dr.

1 Ackerman. Welcome.

2 DR. ACKERMAN: Thank you so much. I hope  
3 everyone can hear me. I'm going to share my screen now  
4 so you can see my slides. Hopefully, everyone is seeing  
5 my regular slide deck here, let me know if not.

6 DR. CALONGE: We can see it. Thank you.

7 DR. ACKERMAN: Great. Thank you. It's so  
8 good to be with you all today. Thank you, and thank you  
9 to Dr. Brosco, for inviting me. I am going to be  
10 talking about families' experiences today. And in  
11 particular, I'm going to be focusing on the question of  
12 utility or the value of genomic sequencing for children  
13 with rare conditions that are suspected to have a  
14 genetic etiology, and I want to acknowledge right off  
15 the bat, that whether we can even use the term  
16 "diagnostic" in genomics is contested.

17 A clinical diagnosis is very different from  
18 etiological information generated through a molecular  
19 level analysis, so I just want to say that right off the  
20 bat. Our team used the term "diagnosis" a lot, but  
21 didn't always group them, so that's something we can  
22 talk about.

23 I'm going to consider current definitions  
24 and approaches to understanding utility and then I'll



1 share some of the findings from a study that we did with  
2 diverse families undergoing genetic sequencing. I'll  
3 conclude by suggesting that an expanded  
4 conceptualization of utility can help us understand the  
5 full scope of what matters to families and will enable  
6 us to assess whether potential benefits of diagnostic  
7 sequencing are likely to be equitably distributed.

8           So, existing definitions of utility are  
9 usually presented in a binary, so if we think about  
10 emerging genomic technologies, they're usually assessed  
11 based on clinical utility or how they inform clinical  
12 care and health outcomes. Of course, also important,  
13 particularly in my area of research is personal utility  
14 and what we indicate by this is the effects of these new  
15 technologies on the lives of individuals, in children,  
16 but in particular, on how people think, feel, and behave  
17 after they've received genetic information.

18           So, I put a red box here around this social  
19 dimension of personal utility. This includes the impact  
20 of genomic information on social support access,  
21 experiences over fear of discrimination and also access  
22 to nonclinical services. So, this is really where we  
23 get into families' day-to-day lives in efforts to care  
24 for their child, but unfortunately this category of

1 personal utility has received a lot less attention from  
2 researchers, particularly, in terms of the experiences  
3 of underserved and disadvantaged families who have a  
4 child with a suspected genetic condition.

5           So, in an essay that's hot of the presses  
6 and co-authored by Dr. Aaron Goldenberg, who'll be  
7 speaking after me today, the idea of middle ground  
8 utility is put forth as a way to shift attention to the  
9 potential benefits of genomic testing that fall outside  
10 this conventional binary I just described. So, this  
11 encompasses the sort of neglected social utility  
12 category I just mentioned, in particular, the services  
13 provided by Special Education teachers, occupational  
14 therapists, and other community-based providers who are  
15 usually outside of the mainstream clinical arena.

16           You know families know well the complex  
17 service landscape that they and their children's special  
18 needs enter into and here I have one parent's depiction  
19 of the many service neighborhoods, if you will, that  
20 they navigate. And I want to thank Cristin Lind for  
21 allowing me to use this image, which she calls "care  
22 mapping." So, it's very hard to see, I realize, and I  
23 think that's part of the point.

24           But in the lower left, the blue area is

1 medical system and genetics is just one small bubble,  
2 among many, and there are other domains, of course,  
3 including developmental assessments, school, advocacy  
4 and leadership, recreation and community, legal and  
5 financial, and social support. So, most of the work  
6 coordinating care across this very complex, loosely  
7 connected network of agencies, organizations, and  
8 informal groups falls on parents and caregivers, but at  
9 least in the research world we don't know much about the  
10 journeys of families through this care landscape,  
11 especially underserved families.

12           So, the real question here then is what role  
13 does rare genomic diagnosis or etiologic information  
14 play? As families try to navigate through complicated  
15 service landscapes and I think that qualitative  
16 approaches to understanding this early is essential to  
17 help us answer this question because it allows us to  
18 have in depth engagement with families, both outside the  
19 clinical setting and over time.

20           This brings me to the empirical work that  
21 I'll be sharing with you today and this is based in the  
22 Program in Prenatal and Pediatric Genomic Sequencing.  
23 Our acronym was P3EGS. This is a study that to place at  
24 the University of California-San Francisco from 2017 to

1 2022, and we're still analyzing some of the data.

2 P3EGS was one of six clinical sites in an  
3 NIH consortium called CSER or Clinical Sequencing  
4 Evidence Generating Research. It was the second  
5 iteration of CSER. And a primary goal of the consortium  
6 was to recruit a high proportion of participants from  
7 populations that have been historically excluded from  
8 genomics research, including underrepresented minorities  
9 and medically underserved populations. So, the NIH  
10 mandate was for sites to recruit at least 60% of people  
11 who could be classified in these categories.

12 The aims of the P3EGS study were to examine  
13 the clinical utility of using exome sequencing for  
14 children who had previously undiagnosed either  
15 neurocognitive or congenital conditions that had a  
16 suspected genetic etiology, as well as pregnant women  
17 with a fetal anomaly detected by ultrasound, and this  
18 was one of the first studies to do prenatal sequencing.

19 The second aim was to explore ethical and  
20 social issues in returning rare etiological information  
21 to diverse families. We had recruitment sites in San  
22 Francisco, Oakland, and Fresno, California, and you can  
23 see the number of families recruited at each site.  
24 There were significant challenges in recruitment in our

1 community settings at the general hospital in San  
2 Francisco and Fresno. Happy to talk about that later  
3 because there's a lot to say there about the research  
4 capacity with our community partners.

5 Here is the overview of our families who  
6 enrolled. We had 845 families total, 529 of them were  
7 in the pediatric arm. I'm going to be focusing  
8 specifically on our pediatric arm mainly because the  
9 study populations are really different demographically,  
10 as well as what we learn from families in terms of  
11 pregnant women undergoing sequencing versus families  
12 with children; but we do have quite a few publications  
13 coming out on our prenatal families' experiences.

14 So, in the pediatric arm, 82% of our  
15 families were covered by California's Medicaid program  
16 MediCal, and I think this is an indication that many of  
17 our families were economically disadvantaged. The  
18 families were also very demographically diverse in terms  
19 of the languages spoken as well as self-reported race  
20 and ethnicity. -And our Hispanic-Latino families  
21 comprised about 40% of our pediatric population.

22 Our ethics team conducted an ethnographic  
23 project to understand families' experiences as they  
24 enrolled in the study and received results, as well as

1 after they received results. And for those of you  
2 unfamiliar with ethnography, it's an approach used by  
3 anthropologists and other social scientists in which  
4 researchers really try to emerge themselves in a  
5 particular setting in order to observe behaviors and  
6 interactions up close and understand cultural phenomenon  
7 from the point of view of the people that we are  
8 studying.

9           We conducted observations, both at the  
10 enrollment time period, as well as during results return  
11 and we conducted longitudinal interviews with parents  
12 after they received the results and then again six  
13 months later to understand what their ongoing experience  
14 was, and we focused, in particular, on expectations of  
15 genetic testing. Many of these families had never done  
16 any genetic testing before, although many had been on a  
17 long diagnostic odyssey, their understanding of the  
18 results they received, any health and other related  
19 decisions based on learning the results, and also their  
20 day-to-day lives and social context.

21           So, we conducted a total of 61 interviews  
22 with 32 families. 40% of our interviews were conducted  
23 in Spanish. We did speak with mothers, fathers, and  
24 caregivers, and sometimes the children themselves wanted

1 to participate in the interviews and that was actually  
2 really rewarding to us to talk to a whole family.  
3 Mothers were more likely to participate than others.  
4 And we also observed 49 enrollment and consent sessions  
5 and 53 return of results sessions.

6 I want to emphasis here that in considering  
7 who to interview, we decided to oversample for families  
8 with positive results. And what I mean by that is  
9 families who received etiologic information that pointed  
10 to a likely genetic cause of their child's condition.  
11 We were very interested in understanding the impact on  
12 families of learning that their child's condition had a  
13 genetic explanation, but we also wanted to talk to  
14 families who received inconclusive and negative results,  
15 so that was about half of our sample. And on the right,  
16 you can see the overall results from the pediatric  
17 population. Far more people received negative and  
18 inconclusive findings.

19 Now, I'm going to turn to what we learned  
20 from families about the utility of genomic information.  
21 There's so much to say here, so this is a snapshot. But  
22 in addition to seeking an explanation for their child's  
23 condition, many parents told us they were also looking  
24 for information and assistance that would help them

1 better care for their child on a day-to-day basis.

2           When asked what she expected from exome  
3 sequencing when enrolling in the study, one mother said  
4 maybe if there was something that no one was trying to  
5 help me with and that she needed, number one, is school.  
6 And similarly, and this was during an observation of an  
7 enrollment session, a mother who is considering  
8 enrolling in the P3EGS Project asked our ethnographic  
9 team, and we had to defer this question to the  
10 clinicians, but asked whether enrolling in the study  
11 would help the family qualify for IHSS or in-home support  
12 services, which is a state-sponsored program that pays  
13 for homecare services for older adults and disabled  
14 children and they had not qualified previously, so she  
15 was hoping that an etiologic diagnosis would help. She  
16 ultimately decided not to enroll in the study.

17           We also learned that parents and clinicians  
18 became partners in creating value and this really points  
19 to how utility is a relational phenomenon. So,  
20 families' interactions with clinicians learned to lower  
21 their expectations of a diagnosis, that a cure or  
22 improved treatment options was quite unlikely, that  
23 pursuing further knowledge was good parenting. They  
24 learned to absolve themselves of any guilt they carried



1 that it was their fault, or their child had inherited  
2 the condition from them, and they also learned to have  
3 faith in what genomic science might learn in the future  
4 and to defer their hopes for the present.

5           And so, this parent's quote really sums up  
6 the sentiment. "We don't know exactly yet what he has,  
7 but we're on the right path." We found that for many  
8 parents' etiologic information prompted a lot of relief,  
9 even if what they learned did not provide a complete  
10 answer. So, one child who had an autism diagnosis and  
11 shorter statute the mother told us it definitely  
12 answered the growth issue, so there was a genetic  
13 variant returned to them, but there really wasn't any  
14 known genetic etiology of the child's autism.

15           But on the other hand, a lot of parents told  
16 us they were very frustrated. The parents who received  
17 a positive result even sometimes said that such as this  
18 example, who these parents said they haven't helped us  
19 at all. We just have a name, but we don't know what it  
20 means. And what they're referring to in terms of the  
21 name is the name of the gene. So many of these results  
22 are so rare that it's a gene name about which very  
23 little is known, other than it probably explains the  
24 child's condition.

1           And another issue for a lot of parents was  
2 they would receive either a negative or an inconclusive  
3 result and they were frustrated because they hadn't  
4 heard back from the research team about the possibility  
5 of re-analysis. So, this mother said, "I'm kind of  
6 waiting for your team to let me know once you have more  
7 information in terms of that specific mutation as more  
8 people get testing done." So, this is a real issue with  
9 families enrolled in research who are hoping to benefit  
10 from developments in genomic science. But after the  
11 study ends, especially families without insurance  
12 willing to pay for additional genetic testing, what  
13 happens to these people.

14           So, we found that for some parents a genetic  
15 diagnosis did facilitate access to community-based  
16 services. The mother of a two-year-old, and important  
17 to mention that the result for this child was associated  
18 with a well-known syndrome, told us that this diagnosis  
19 helped her child get into Head Start and that the  
20 genetic information really kind of prompted that, so  
21 this was a success story for her.

22           Other parents, on the other hand, and we  
23 found this to be a more common story, especially with  
24 our socioeconomically disadvantaged families, that they

1 really struggled to use genomic information. So, one  
2 mother of a five-year-old whose result was not  
3 associated with a known syndrome, it was a rare variant,  
4 said even though I took the genetics papers to the  
5 school, they didn't pay much attention to it. So, her  
6 efforts to improve her child's access to Special  
7 Education did not succeed.

8           And another mother similarly told us -- she  
9 said it did not change the clinical diagnosis, it did  
10 not change the IEP, but it did create sort of animosity  
11 between me and the school district, so there was sort of  
12 a mismatch in the parent's expectation and what the  
13 school was actually able to do with this rare genetic  
14 information.

15           So, we've really ultimately found that  
16 families' ability to realize what we might of as middle  
17 ground or social utility were shaped, in part, by the  
18 type of result, and there's some indications that  
19 syndromes of known behavioral traits in developmental  
20 trajectories are more translatable to the service sector  
21 than rare variants that, as yet, are not associated with  
22 well-characterized syndromes.

23           Also, how long a child has been in the  
24 system seems to matter a lot. So, prior assessments and

1 clinical diagnoses seem to really have a lot more sway  
2 than rare genetic information. And we also found that  
3 families' ability to advocate for their child and to use  
4 etiologic information that effort is really shaped by  
5 how well they're able to mobilize certain knowledge  
6 skills and resources, and we call this cultural capital,  
7 and its unequal distribution really puts socially and  
8 economically marginalized families at a distinct  
9 disadvantage. So, our concern is that the potential  
10 benefits of a rare diagnosis may be inequitably  
11 distributed.

12 I just want to conclude by encouraging to  
13 shift toward a more expanded, multilevel conception of  
14 utility, which really can be understood as produced  
15 through these dynamic interactions between families,  
16 clinicians, health care systems, schools, and other  
17 organizations, as well emerging technologies such as  
18 exome and genome sequencing, as well as health and  
19 social service policies.

20 So, it's some questions that I think need  
21 answering include what role does genomic information  
22 play in families' ability to access services and their  
23 day-to-day lives, as well as their overall well-being,  
24 are schools and community-based services able to use

1 genomic information alongside functional and clinical  
2 assessment, particularly these very rare diagnoses are  
3 starting to be generated more now that we have access to  
4 exome and genome sequencing. And finally, how do  
5 federal, state, and local policies shape the meaning and  
6 actionability of genomic information?

7           And I think it's very important that we  
8 answer these questions for all families, and  
9 specifically do emerging genome technologies mitigate or  
10 exacerbate existing disparities in access to services.  
11 So, I also just really want to thank all the families  
12 who spoke with us. And what I'm including here are some  
13 screenshots of the comic book styled story we created in  
14 collaboration with an artist-health educator at Booster  
15 Shot Media and some of the families who are enrolled in  
16 P3EGS.

17           And in this story, we depicted a fictional  
18 family undergoing exome sequencing and we brought in a  
19 lot of the themes that we learned from our interviews  
20 with families with the goal of explaining to families  
21 what we learned in the study and thanking them for  
22 participating, and we sent this comic book out to the  
23 families last year. And I also want to acknowledge the  
24 P3EGS research team, in particular, our Ethics Group.

1 So, thank you so much, and I'll stop sharing now.

2 DR. CALONGE: Thank you, Dr. Ackerman. I'm  
3 hoping you can stay with us a little bit more until  
4 after the next presentation and be present for  
5 discussion and questions. This is such critical work,  
6 helping us catch up with understanding what we should do  
7 with the technology now that we can do it, so this, I  
8 think, has lagged behind our technological advances and  
9 so filling in that gap is just critical.

10  
11 **The "Value of Values": Expanding Assessment of Net**  
12 **Benefits and Harms through Social Science Data**  
13

14 DR. CALONGE: Now, we're going to hear from  
15 Dr. Aaron Goldenberg from the Case Western Reserve  
16 University School of Medicine. The title of his  
17 presentation is The Value of Values: Expanding  
18 Assessment of the Benefits and Harms Through Social  
19 Science Data. Dr. Goldenberg is a professor and vice  
20 chair in the Department of Bioethics at the Case Western  
21 Reservice University School of Medicine. He's also  
22 Director of the Case Western Bioethics Center for  
23 Community Health and Genomic Equity. Dr. Goldenberg has  
24 a background in bioethics, health behavior, health

1 education, public health ethics, and public health  
2 genetics.

3 He has focused his work on the ethical,  
4 social, and equity issues associated with the  
5 integration of new genomic technologies into research,  
6 clinical, and public health settings. Dr. Goldenberg.

7 DR. GOLDENBERG: Thank you. It is such an  
8 honor to be with all of you and to be in person. I will  
9 not let the Lions' loss from last night reduce my  
10 enthusiasm for today's conversations, but there are lots  
11 of families in Detroit having a sad day today.

12 So, thank you, Dr. Calonge, and Dr. Brosco,  
13 for inviting me and it's just great to be with the  
14 Committee and to be with all of you. My hope is that  
15 through this conversation and through these slides I'll  
16 turn our attention a little bit to methodological  
17 questions, to some theoretical questions about how we  
18 can take families' experiences, how we can listen to  
19 families and integrate that into our considerations,  
20 whether those are for new conditions, whether those are  
21 for the kinds of support structures that families need,  
22 but really to think about the value of social science  
23 data in these conversations in what I'm calling the  
24 value of values.

1           So, this slide is not going to be dissimilar  
2 to many slides that you've seen in the past talking  
3 about the concept that newborn screening is on kind of  
4 the cusp of really translational change, both in terms  
5 of the kinds of conditions that are be recommended or  
6 kinds of conditions that are being nominated,  
7 potentially later on set conditions, more uncertainty  
8 with particular conditions, the potential use of genomic  
9 screening and then, of course, the questions surrounding  
10 what happens to data and samples after are all putting  
11 us in a situation where we start seeing the future of  
12 newborn screening to have the need for a lot of changes,  
13 a lot of different ways of thinking about the work that  
14 we do, while also trying to preserve the benefits of  
15 screening for families.

16           This is where, I think, social science data  
17 is going to be incredibly, incredibly crucial and the  
18 problem is, as you've heard from our previous two  
19 speakers, there's really a lack of data pertaining to  
20 family perspectives, particularly both parental and  
21 public perspectives related to the kinds of changes that  
22 we're talking about in newborn screening and what data  
23 is there, which there is really amazing data out there I  
24 think is underutilized.



1           It's vital that we have data from  
2 stakeholders to both manage expansion of newborn  
3 screening, changes in newborn screening in a really  
4 transparent manner that maintains the ethical  
5 justification of newborn screening. And as was  
6 mentioned in the last session, to maintain trust in the  
7 system. We talk a lot about trust and trying to get  
8 families and communities to trust us, but I know one of  
9 the things that we've talked about a lot recently is  
10 really changing that narrative from one of trust to one  
11 of trustworthiness and how do we create programs that  
12 maintain our trustworthiness so that families and  
13 communities continue to benefit and continue to feel  
14 trusting in what we're doing.

15           And so, I think, as we're talking about  
16 expanding notions of benefits and harms in newborn  
17 screening, the kinds of data that were presented in the  
18 previous two presentations are exactly what we need to  
19 be hearing, not just about the benefits and harms to  
20 individual newborns, but also to families and society,  
21 and we need really complex and deep data to be able to  
22 do that.

23           So, what'd we mean by assessing values, and  
24 these are just going to be some questions that I think

1 we need to be talking about together. So, whose values,  
2 whose perspectives, whose concerns, whose expectations  
3 are we thinking about? Are we only hearing from parents  
4 with children with a particular condition? Are we only  
5 hearing from the public, generally? Are we hearing from  
6 parents? We need to have a lot more precision about who  
7 we're talking about when it comes to these kinds of  
8 data.

9           What are the values about? Are they about  
10 screening a particular condition, is it just generally  
11 about newborn screening issues, is it about newborn  
12 screening disparities, or access to care, something that  
13 we've talked a lot about on this Committee over the last  
14 few sessions. When are talking to people, is it during  
15 a pilot stage for a condition, is it in states that are  
16 already screening conditions and what families'  
17 experience has been like after getting screening, or are  
18 we talking about an activity that may be goes directly  
19 hand-in-hand with the evidence review process that we  
20 could think about social science data that might be part  
21 of an evidence review itself.

22           How are we actually getting information from  
23 families, are we doing through surveys or interviews  
24 with individuals and families, are we thinking about

1 focus groups or small group dialogue sessions? There  
2 are lots of innovative approaches, including ethnography  
3 that we just heard from the last session, deliberative  
4 democracy sessions that some of you maybe have  
5 participated in and other innovative approaches?

6           The question that's on the table for all of  
7 us is why are we doing this? It is to improve the  
8 matrix. It is to improve our evidence review process to  
9 impact the final score for this Committee's decision of  
10 yes or no for a particular condition, or is it to impact  
11 the ways in which the Committee's recommendations for  
12 things like state resources for parents or for  
13 clinicians or for choices of variants or how we return  
14 results? Is it more process oriented, is it about other  
15 recommendations for the kinds of resources that we think  
16 families will need post-streaming, is it about access or  
17 follow-up, is it about education or potentially consent  
18 for some conditions?

19           I think part of one of the things that is a  
20 big part of our conversations in some of the social  
21 science work in newborn screening is this question,  
22 which is as we expand do we start thinking about  
23 parental authority differently, do we start thinking  
24 about the mandatory nature of newborn screening

1 differently in order to give potential families choice  
2 around particular conditions. That's a hard  
3 conversation to have, but one that I think that we need  
4 to hear from families about before we move forward.

5 I think, for me, there are three problems in  
6 using these kinds of data in newborn screening. The  
7 first one is that newborn screening harms and benefits  
8 to families raised in Committee meetings, in other  
9 meetings, in lots of different things tend to be  
10 anecdotal or hypothetical. We worry about particular  
11 harms, we talk about particular harms, but we don't have  
12 enough data to show whether or not those harms are  
13 actually real and tangible and are experienced by  
14 families.

15 The burden of proof, I think, has been kind  
16 of historically higher for benefits and I think maybe we  
17 need to be rethinking the way we think about the burden  
18 of proof for harms as well. And the problem here is  
19 really there's just a lack of data. We don't have  
20 enough data on these kinds of harms or benefits from  
21 expanded newborn screening, for example. The second  
22 challenge is that when we do have data many times that  
23 data is dismissed as anecdotal or nonscientific.

24 Many people who don't know social science

1 research or haven't worked in social science research  
2 may not think about the data that we're presenting, both  
3 qualitative and quantitative data in the same way that  
4 they do other kinds of clinical data. And I think the  
5 problem is maybe a lack of understanding of what social  
6 science data is or how it can be helpful.

7           And then the final one is even when it is  
8 appreciated, when data on harms or benefits to society  
9 is part of our considerations, they're not  
10 systematically integrated into the evidence review  
11 problem, so the science itself doesn't actually get into  
12 our final decision-making process.

13           So, across these three, either there's not  
14 enough data, the data is dismissed, or the data is  
15 included, but not actually systematically included in  
16 evidence review. We have a real problem of not getting  
17 these family voices, these family narratives, these  
18 families' experiences into our considerations and I  
19 think in measurable and robust ways.

20           So, I'm going to give an example from Screen  
21 Plus. This is a comprehensive, flexible, multi-disorder  
22 newborn screening pilot program that we've heard about  
23 on this Committee before. PI'ed by Melissa Wasserstein  
24 in New York. It's a consented pilot, running in

1 conjunction with the New York State Screening Program.  
2 The goal is to enroll 100,000 babies at a high birth  
3 rate, ethically diverse hospitals over five years in the  
4 New York City area.

5           The goals are to assess the analytic and  
6 clinical validity of multi-tiered screening for a fluid  
7 panel of multiple disorders. We've heard about that  
8 study multiple times, but what I'm going to be talking  
9 about is this last part, which is assessing the ethical,  
10 legal, and social issues from parents' feedback,  
11 including interviews and surveys of parents who have  
12 enrolled in Screen Plus.

13           So, all parents who enroll in Screen Plus  
14 get an opportunity to participate in further surveys or  
15 interviews, either about their experience with Screen  
16 Plus or about other newborn screening issues. And we're  
17 trying to create a stable platform to hearing from  
18 families, both who have screened positive for a  
19 condition, but also of families who are just enrolled in  
20 Screen Plus, their children are negative for any  
21 condition, but whose voices may still be important to  
22 hear from on big, newborn screening issues.

23           So, the ELSI components of Screen Plus fall  
24 into three different categories. So, there's a consent

1 feedback survey. This is really about the research  
2 itself. We hear from families about what they liked,  
3 what they didn't like, why they  
4 participated -- actually, why they maybe didn't  
5 participate in Screen Plus, and that helps us to build  
6 further research opportunities and think about improving  
7 research.

8           The second two are really what we're talking  
9 about today, which are quantitative parent surveys.  
10 Families get this about one month after they receive  
11 their results from the study. It includes right now  
12 three surveys. One on expanded newborn screening and  
13 various newborn screening policy and program issues, one  
14 on dry blood spots -- so there's actually two studies on  
15 expanding newborn screening and one on dry blood spots.

16           We're working on a whole genome sequencing  
17 survey right now, but the idea is over a course of three  
18 to six months families receive two to three surveys, so  
19 they can choose to participate as many times as they  
20 want and in as many surveys as they want and give  
21 feedback on a variety of newborn screening issues.

22           We also have a component in the qualitative  
23 realm as well. So, approximately six to two months  
24 after birth, families who have received positive results

1 from Screen Plus will be entered into a qualitative data  
2 collection process where we're going to be hearing from  
3 families and hearing their narratives about their  
4 experience with newborn screening, about their  
5 experience with getting positive results at a much more  
6 in depth, interpersonal level.

7           These are going to be an hour, hour and a  
8 half-long interview and the idea is to hear their  
9 stories, to hear what their experience was like. And in  
10 a second, I'm going to talk about why I think it's so  
11 important to hear both quantitative and qualitative  
12 data. The kinds of things we're going to be talking  
13 about, as I said before, so we're going to be asking  
14 parents about consents, dry blood spot retention,  
15 newborn screening expansion, including what types of  
16 disorders we might want to screen for in the future,  
17 including age of onset, treatability, diagnostic and  
18 prognostic uncertainty questions, and issues related to  
19 newborn screening that may fit a little bit outside of  
20 our core kinds of questions, but things like trust in  
21 government entities, trust in newborn screening  
22 programs, issues around equity and diversity, and what  
23 kinds of information should generally be returned from  
24 screening results.



1           Our goals in all three of these data  
2 collection processes are to do a few things. One is  
3 just to inform newborn screening implementation to think  
4 about meeting family needs and what kinds of resources  
5 may be necessary and to hopefully impact newborn  
6 screening policy and newborn screening research. Those  
7 last two are interesting ones because they tend to be  
8 harder when it comes to integrating social science data  
9 into newborn screening policy development.

10           So, I want to talk, just briefly -- this is  
11 not meant to be a data presentation, but I'm an  
12 empirical researcher. I always have to show a little  
13 bit of data. So, I want to start by talking about the  
14 reason why I think it's so important to include both  
15 quantitative and qualitative data when considering  
16 social science research in newborn screening.

17           Here's some data from one of our studies,  
18 looking at parental attitudes towards various ages of  
19 onset, attitudes towards adding conditions with variable  
20 ages of onset to newborn screening, and we ask whether  
21 or not they thought adding particular conditions that  
22 had either an early, late, an adult onset, or conditions  
23 with no cure or treatment would be a positive thing, a  
24 negative thing, or neither positive or negative. And as

1 you can see from this very quick snapshot, a majority of  
2 parents thought that receiving information through  
3 newborn screening about conditions that might have on  
4 early onset, a late onset, or an adult onset tended to  
5 be a positive thing.

6           There were some families that said they were  
7 neither positive nor negative, and there were a few  
8 families that said this was a negative thing. There  
9 were about 225 families in this survey, but this is the  
10 danger of data like this. We look at a screenshot, we  
11 look at some statistics, and we try to think about how  
12 that might impact policy without better understanding  
13 the nuances of how families feel, what families are  
14 going through.

15           When thinking about these issues we're  
16 missing important details about their experience, about  
17 their voice, about their lives. These kinds of  
18 screenshots, these kinds of data are incredibly  
19 important to start, but they really have to be the  
20 start. Once you start looking at in depth data from  
21 families' experience, we see the nuance, the complexity  
22 that takes places in these issues for families.

23           So, here's a few quotes. "Whether the  
24 treatment is available or not, it's always preferable to

1 know if there's an issue." Someone else said, "I have a  
2 genetic condition that was not diagnosed until  
3 adulthood. I think it would've been very beneficial to  
4 know at a younger age."

5 But we also heard from some families that  
6 said, "Prior to having children, I would've felt that  
7 newborn screening for any disorder would be positive.  
8 Now that I have a child, I'm not sure I would want to  
9 know that information about a disorder that may or may  
10 not affect my child for several years or into adulthood,  
11 if at all, especially if there are no treatments or  
12 currently anything that I could do differently to lessen  
13 the severity or delay onset," right?

14 So, the reason why I think it's important to  
15 have this nuance data is to better hear from families  
16 and better understand why our policies also need to  
17 reflect that complexity, also need to reflect that  
18 nuance.

19 Context, context, context, one of the things  
20 that we really wanted to talk about today is how  
21 important how we ask questions to our final product, to  
22 our outcomes, to what we're including in our data. So,  
23 first, this is some data showing families' attitudes  
24 towards getting newborn screening when there's some

1 level of uncertainty. So, we asked families would you  
2 want a newborn screening results in cases where a child  
3 is at high risk to develop a serious condition that  
4 might need treatment, but doctors cannot tell when they  
5 will get sick, so potentially later onset. 92% of  
6 parents said they either strongly or somewhat agreed  
7 with wanting that kind of information, while 8% said  
8 they disagreed with that kind of information, so with  
9 that kind of uncertainty.

10 We also asked would families be interested  
11 in receiving newborn screening results for conditions  
12 where doctors could not tell whether their baby would  
13 have a serious condition and you can see a slightly  
14 different answer, 70% still strongly or somewhat agreed  
15 with getting that kind of uncertainty back, but it's  
16 thinking about these different kinds of uncertainty is  
17 important, right?

18 Are we talking about uncertainty with age of  
19 onset or are we talking about uncertainty with regard to  
20 actually having a condition and we saw some potentially  
21 really interesting differences between parents'  
22 attitudes. Context is important if we think about what  
23 population or what community or who we're talking to.  
24 Take that first question again, would you want to get

1 your baby's information regarding results that, for  
2 example, where a doctor might not be able to tell you  
3 whether or not you have a particular condition.

4           Our white families in our study we saw 60%  
5 felt hat they either strongly or somewhat want that  
6 information, while 40% said they strongly or somewhat  
7 disagree with the statement that they would want that  
8 information about an uncertain future condition.

9           When we look at our non-white families in  
10 the study, that number was statistically significantly  
11 different, where 80%, a much higher number of families,  
12 non-white families, wanted that uncertain information,  
13 right? Interesting finding, I think tells a little bit  
14 of something about where we might see some trends with  
15 regard to acceptability of uncertainty, but we need to  
16 get deeper into that and we'll go to that in a little  
17 bit.

18           How we ask questions also changed what kind  
19 of data we get. So, in the first column here, we say I  
20 would like to get my baby's newborn screening result in  
21 cases, again, where my doctor could not tell me if my  
22 baby has a serious condition back to those original  
23 numbers. But in a second survey, we asked people  
24 whether or not for uncertain conditions whether or not

1 they would think all babies should be screened in a  
2 mandatory fashion or whether or not parents should be  
3 able to give permission or should actually be required  
4 to give permission, and that number changed drastically.

5           We had about a 50/50, about 50% of parents  
6 said they were fine with a mandatory screen for  
7 conditions that had high levels of uncertainty, while  
8 the other 50% said, no, if there's that level of  
9 uncertainty parents should have to give permission in  
10 order to receive those results. We need to think about  
11 how that changes the way we talk about screening.

12           And then, finally, again context with  
13 quantitative versus qualitative. So, 70% said they  
14 would want uncertain information about a future  
15 condition, but again, very quickly because I know my  
16 time is running out, we see very different opinions when  
17 we actually look at more qualitative, in-depth data from  
18 families. This person said, "The only thing that I  
19 would hate to add to a mom is additional worry. If  
20 there's any uncertainty about the serious condition and  
21 no possible treatment, it's honestly better to live in  
22 ignorance and enjoy your baby versus always being  
23 worried and then one day they might get sick."

24           Someone else said, "Multiple doctors' visits

1 in early babies' lives are very stressful. Knowing that  
2 that might be coming or that there's a diagnosis would  
3 be valuable to help manage that uncertainty," a slightly  
4 different opinion.

5 "The more information we have the better.  
6 There are so many things that we don't know and can't  
7 predict about our own bodies and having the opportunity  
8 to know more about babies' health and probabilities is  
9 comforting," right? Again, this is all to show, that  
10 the importance of embracing the complexity of these  
11 kinds of data.

12 So, how do we move forward, how do we move  
13 forward on these things? So, let's go back to my  
14 original challenges. So, Challenge One is the fact that  
15 we just don't have enough data and that the data that's  
16 sometime presented is either antidotal or hypothetical.  
17 We need to work together to co-create research  
18 questions, whether that's the Committee with our  
19 advocacy organizations, whether that's state programs  
20 with parents, we need to work together to create  
21 questions that I think can move us forward.

22 We need to develop research that includes LC  
23 and social science methods, and we need more funding. A  
24 lot of funding opportunities in newborn screening

1 exclude the kind of work that we're doing, exclude  
2 social science data. For Challenge Two, how do we make  
3 sure that data is not dismissed as nonscientific? We  
4 have to create new opportunities for presenting and  
5 integrating social sciences like panels like this,  
6 really appreciate being able to have a panel like this  
7 and develop training opportunities in newborn screening  
8 for programs to work with social science data. I think  
9 there's lots of amazing training opportunities.

10           And finally, this is probably the hardest,  
11 how do we make sure that data is actually systematically  
12 integrated into our evidence review? We need to further  
13 develop decision metrics for these kinds of decisions  
14 that integrate value in social science data more  
15 effectively and it may be that these data are not meant  
16 to say this is to decide yes or no screening a  
17 particular condition, but thinking about how we can  
18 inform processes.

19           What kinds of resources do parents need,  
20 what kinds of things are of concern to families?  
21 Addressing Challenge Three is going to be the hardest.  
22 I think this is going to be one of the challenges for  
23 the Committee moving forward is how can these kinds of  
24 data be integrated. The reality is you're always going



1 to have divergent and pluralistic views among parents,  
2 right? You're always going to have a parent who is very  
3 concerned about uncertainty. You're going to have some  
4 parents that are more comfortable with uncertainty.

5           That's not a failure of the data, that's  
6 just a reality. That's the reality of families.  
7 Experiences that you're going to have this kind of  
8 divergent views, so we need to find better ways to  
9 include those divergent views. We need to think about  
10 determining thresholds for potential harms more  
11 effectively.

12           We need to think about weighing and  
13 screening versus clinical harms and what that means in  
14 terms of families' experiences. We need to value data  
15 that may indicate not just whether or not something  
16 should be screened, but whether or not it's about  
17 improving resources. And finally, we need to consider  
18 how we hear from families, and think about permission.

19           So, I want to end by talking about two very,  
20 very quick things. One which is that we need to think  
21 about not just ways that we can appreciate social  
22 science data, but ways that we can really improve the  
23 data that we're integrating. This is some core  
24 principles from Arthur Lupia, he's a wonderful political

1 scientist at the University of Michigan who does a lot  
2 of work on how do you improve and include social science  
3 data in policymaking and he really talks about four core  
4 principles that I think we can use as a benchmark for  
5 the ways in which we consider these kind of data.

6           The first one is rigor. How do we know what  
7 we know? The ability to be able to explain how we're  
8 understanding complex issues, especially when they're  
9 divergent questions, when there are controversies, when  
10 there is disagreement among Committee Members. We need  
11 to think about rigor and how we include these kinds of  
12 data.

13           Of course, ethics and ethical research, how  
14 do we make sure empowering our participants and our  
15 families to feel comfortable talking with us about their  
16 experiences. We need precision in measurement and  
17 conceptualization. If seven of us are doing research  
18 and all seven of us have different definitions of harms,  
19 that's going to be very hard to integrate into what we  
20 do on a daily basis here, so we need much more precision  
21 and shared conceptualization of things like benefit,  
22 harms, disparities.

23           And finally, causality, if we think about  
24 correlation and we see disparities, for example, in

1 outcomes in newborn screenings between white and  
2 non-white families, some data that's been represented  
3 here before, we need the ability to think about what's  
4 causing those disparities. If we're going to solve  
5 problems like disparities in newborn screening, we  
6 really need to focus our efforts on really improving our  
7 understanding of causal features and causal nature,  
8 causality for those disparities.

9           And finally, let's not reinvent the wheel.  
10 There are many of us in this room, there are many of us  
11 online, there are many of us in the world who've done  
12 really amazing work in this space, and I think we need  
13 to maybe do a better job of recognizing the work that is  
14 already out there. For example, many of us in this room  
15 worked on a paper just a few years ago on evaluating  
16 harms in the assessment of net benefit and created a new  
17 framework for thinking about harms, not only to  
18 individual newborns, but to parents and families.

19           This project was meant for us to think about  
20 expanding the ways in which we think about social  
21 science data, the ways in which we think about harms and  
22 how we can expand our notions of harms and our  
23 definitions of harms, and I think we should be pulling  
24 these papers back out and really thinking about them in

1 the work that we do. We don't have to do this alone, we  
2 don't have to do this without some amazing work that's  
3 already been done, and I think that's an opportunity to  
4 do that and to hear from families who've already  
5 dedicated so much time into talking to us about their  
6 experiences.

7           So, I'm going to end there. Happy to open  
8 it up to questions for both Dr. Ackerman and me. I want  
9 to acknowledge the Screen Plus team and ICHD for funding  
10 this project, as well as our industry partners and many  
11 of my colleagues who have helped me think through many  
12 of these issues as well. Thank you so much.

13           DR. CALONGE: Thank you. That was great.  
14 You got me thinking. I'm going to start with maybe less  
15 of a question, but more of a comment. GRADE, do you  
16 know GRADE? GRADE is a evidence to a decision framework  
17 for evidence synthesis in recommendation creation and  
18 they have created a model that's been lightly used  
19 called GRADE-CERQual, which is an approach of trying to  
20 marry or bring together different data streams, marrying  
21 both the quantitative and the qualitative in going from  
22 the evidence to decision framework.

23           On a NASEM committee I chaired, we used  
24 that, plus another emerging area, which maybe you're

1 already doing, which is qualitative data synthesis,  
2 which is saying, now I'm going to combine data across  
3 qualitative studies, which is pretty well established in  
4 Europe, but just kind of coming to the U.S. now. And  
5 I'm thinking about that study because it thought about  
6 how to incorporate many different kinds of data streams  
7 in the evidence to decision framework, and  
8 something -- Jeff, we might ask if the statement is task  
9 is not already set for NASEM, that could they expand the  
10 statement of task to look at the use of GRAD-CERQual or  
11 other integrative data stream evidence to decision  
12 frameworks that could make sure that we're not excluding  
13 this.

14           The only reason I bring it up is because it  
15 does what you're talking about, the way you're talking  
16 about doing it, in a structured way. I can't tell you,  
17 Aaron, that it's the right structured way, but it is a  
18 structured way and I think it would be used. So, that  
19 was my comment to get us started.

20           DR. GOLDENBERG: I totally agree. I think  
21 that one of the issues is when you have one study with  
22 10 families that data is incredibly important and it's  
23 incredibly meaningful and we want to listen to those  
24 families, but when making public health policy being

1 able to synthesis data across multiple studies is  
2 crucial, right, thinking about individuals and families  
3 in different situations, in different communities,  
4 different geographic locations.

5 I think now that there's a larger effort by  
6 the NIH and other entities to do more data sharing of  
7 qualitative data, I think there's really great  
8 opportunities to think about looking at cross studies at  
9 some of these themes and doing thematic analysis in  
10 really unique ways, so I absolutely agree.

11  
12 **Committee Discussion**  
13

14 DR. CALONGE: Thanks. Let me open it up to  
15 other questions from the Committee, and I see Jennifer's  
16 card is up.

17 DR. KWON: So, I'd like to start with  
18 asking, Ned, how should we think about our time to  
19 discuss these two very excellent and thought-provoking  
20 talks, given that it may give us only 20 minutes for  
21 lunch, and that's how long it takes me to get through  
22 the line.

23 DR. CALONGE: We will do our best to do as  
24 much discussion and leave as much time for lunch to get

1 through the line.

2 DR. KWON: Okay. I think we're overtime.  
3 Am I misreading this?

4 DR. CALONGE: I believe we're okay.

5 DR. KWON: I apologize. I misread the --

6 DR. CALONGE: Twenty minutes.

7 DR. KWON: I'm so eager for lunch, I think.  
8 No, but these are both excellent talks, and I guess what  
9 I would say is I really like the way you married the  
10 qualitative data to the quantitative. And I would just  
11 put in a comment that these are consented studies and  
12 that the real quantitative data that we should have, and  
13 we don't have and may never have can only come for long-  
14 term follow--up data collected on children who actually  
15 screen positive, so that's- all I would like to say.

16 DR. GOLDENBERG: I totally agree, and there  
17 are a number of studies Beth Turney presented our work  
18 on one of the last Committee meetings on following  
19 families for a year after receiving uncertain results  
20 and with an uncertain prognosis, and one of our goals is  
21 to do exactly what you're referring to, which is to  
22 follow families more long term to really follow up with  
23 families about their experiences and to do so across  
24 really over a year of time.

1           You know a lot of studies are snapshots,  
2 right? They are snapshots and just get to the issue of  
3 a good day or a bad day, are you talking to people on a  
4 good day or a bad day and how does that impact their  
5 responses on the survey or their responses on an  
6 interview.

7           One of the reasons why we set up our study  
8 the way we did is to give an opportunity to talk to  
9 families over time, to hear from them over time, knowing  
10 that family stress, for example, increases right before  
11 they need to go up for follow-up, right? They might  
12 decrease after that appointment and so depending on  
13 where you're talking to families in their kind of  
14 post-diagnosis clinical process, you're going to get  
15 different answers, you're going to get different  
16 experiences, and so being able to talk with families  
17 over time is really important.

18           Now, at the same time, it also means  
19 respecting their time. Families have a lot on their  
20 minds, they have a lot going on, and spending three  
21 hours or four hours with you to talk about their  
22 experiences means that's time away from their kids,  
23 that's time away from their work; also, balancing  
24 wanting to hear from those families and respecting their



1 time is something that we talk a lot about in our work.

2 DR. ACKERMAN: If I can just jump in to add  
3 to what Aaron just said, we also use qualitative  
4 methods, interviews, to understand families' responses  
5 to surveys, so what Aaron just said about context really  
6 matters in terms of interviews and service, so we  
7 actually had conducted a survey with the families in our  
8 studies around whether they were willing to share their  
9 data or not with a national data repository.

10 And then later, when we interviewed them, we  
11 asked them do remember making a decision about data  
12 sharing. Quite often, they said no I didn't. And we  
13 said, well, if you were to choose now, would you say yes  
14 or no, and very often their response was the opposite of  
15 what they had answered in the survey, and we realize  
16 they were under a lot of duress. They were in a clinic  
17 setting. The question was framed in a certain way, so  
18 we realized that our survey really didn't get at  
19 family's actual preferences, so that's another mixed  
20 methods way to help finetune survey questions.

21 DR. CALONGE: Thanks. Next, I have Jeff.

22 DR. BROSCO: So, one quick question to your  
23 question -- one quick answer, Jennifer -- Jeff BroSCO  
24 from HRSA -- is that we were hoping that Aaron's and

1 Sara's presentation this morning would be examples of  
2 the kind of evidence that can be useful, not that  
3 they're definitively answering questions this morning.  
4 And so, this afternoon when we talk about expanding the  
5 way we use evidence in decisions for the Committee,  
6 these would be examples of the kinds of things that  
7 could be done.

8 DR. KWON: And I guess I just want to  
9 respond that people who consent to participate and who  
10 participate in these studies are very different from  
11 people who don't, and I think that the real harms -- the  
12 data on real harms we just don't get because the people  
13 who are harmed don't come.

14 DR. BROSCO: We may have a future  
15 presentation from Rachel Grubb. She's done some stuff  
16 with the newborn screening, now does more generally to  
17 patient experience. I'm going to mess this up, I'm  
18 sure, but in her scientific approach to focus groups,  
19 instead of getting to consensus and then stopping, it's  
20 what are the minority views that come out and then  
21 really teasing those out. So, there are some scientific  
22 approaches to getting to the typically unheard voices  
23 and that may be something for us to consider.

24 I'm actually going to then turn to Sara, and

1 my question for you, Sara, you mentioned ethnographic  
2 approaches and you're an ethnological expert. Could you  
3 say a little bit more about how that might differ from  
4 just, say, interviewing someone with a structured  
5 interview or what I just mentioned, what Rachel's doing?  
6 Could you just tell us a little bit more about the  
7 different kinds of ways that interviews might lead to  
8 different information?

9 DR. ACKERMAN: Yes, thanks, that's a quest  
10 question. And before I answer that, just to respond to  
11 the previous comment, a lot of the families in our  
12 study, even though it was a consented study, they didn't  
13 even remember they were in a research study. The reason  
14 they were in the study is because it was the only way  
15 they were going to get access to sequencing because  
16 Medicaid did not pay for it. So, just to say that it  
17 was a very unusual study, in that sense, that we would  
18 ask families and they'd say what research, you know.  
19 Even though they went through the consent process, they  
20 were so focused on the clinical and personal benefit.

21 But to get back to your question, Jeff, so I  
22 think I would just highlight one particular real  
23 difference in ethnographic research versus maybe  
24 standard interview, focus group research, and that is

1 that we were actually able to observe the clinical  
2 interactions between families and the clinical team and  
3 researchers, and that really helped us to understand  
4 that this idea of personal utility isn't something that  
5 families -- it's not something they have. It's not  
6 something that lives in them. It's something that's  
7 actually created in interactions. So, I think -- and  
8 the same thing before the pandemic we were actually able  
9 to go visit families in their homes when we did  
10 research. We asked them would you like to do your  
11 interview in our office, in a public place, or at home  
12 and they usually said home.

13           We traveled all over the Bay Area to visit  
14 people and we learned a lot about their day-to-day life  
15 just by being in their homes. They often showed us  
16 where their child slept and they showed us -- you know,  
17 a lot of these families were experiencing extreme  
18 employment and housing precarity, so we actually got a  
19 glimpse into the day-to-day lives of the families that  
20 enabled us to understand and contextualize what they  
21 were telling us in a way that if we had just talked to  
22 them on the phone would never have been possible. So,  
23 there's a lot more to say, but I'll leave it at that for  
24 now.

1 DR. CALONGE: Online we have Ash.

2 DR. LAL: This is Ash Lal, Committee Member,  
3 UCSF. I really appreciate the presentations today.  
4 Thank you for your discussion of a very difficult topic.  
5 My first observation, just a comment for being a  
6 clinician, is that added to the complexity of diagnostic  
7 uncertainty is the issue of phenotypic variability and  
8 clinical expression of monotypic diseases, so even when  
9 we know that there's a definite association, when you're  
10 talking to families and trying to describe future  
11 course, there're limitations even within that that add  
12 to how families perceive the uncertainty around the  
13 future of their child.

14 So, I don't know if that's an additional  
15 thing that might need to be added to the counseling of  
16 the families receive, not the genetic diagnosis, but the  
17 variability of clinical codes in the future.

18 Sickle Cell is a good example of that, but  
19 I'm sure there are many other conditions. But my  
20 question is regarding the -- Dr. Goldenberg, the  
21 questions that are to be asked what the families'  
22 perception of uncertainty in genetic diagnosis, do you  
23 think if that the same questions are answered, just as  
24 experience, would've bene asked, say, 20 years ago

1 versus now, would the answers be somewhat different,  
2 given the recent advances in the field of genomics as  
3 well as precision medicine and the filtering out of some  
4 early successes in gene therapy, et cetera, and how that  
5 shapes the public's view of genetic diagnoses and  
6 prediction.

7 DR. GOLDENBERG: That's a great question.  
8 I'm not sure if they'd be different. I think even 20  
9 years ago when there was still a lot about uncertainty  
10 or less information about the kinds of genetic results  
11 that families could receive, I still think families'  
12 expectations about what they might hear from screening  
13 might have been the same.

14 I do think that back in the late nineties,  
15 early 2000s, when studies like this were being done on  
16 Alzheimer's Disease genetic testing and Huntington's  
17 Disease genetic testing, there tended to be a lot of  
18 data that showed pretty significant potential  
19 psychosocial harms to families, anxiety to families, and  
20 a lot of that hasn't borne out in more recent genetic  
21 testing kinds of social science data.

22 A lot of families have shown a lot more  
23 resiliency and a lot more interest, and even when  
24 receiving certain results and having some comfort in

1 uncertainty. Even though we know we're worried about  
2 the potential harms of uncertainty, I think even in some  
3 of the families that we've been speaking to we've heard  
4 that it's less about the harms of uncertainty and more  
5 about the resources that families need to address  
6 uncertainty and to deal with uncertainty.

7           And so, maybe families would have thought  
8 different 20 years ago than they do now, but I think  
9 right now what we're hearing from most of the families  
10 in a variety of our studies is less about, well, I don't  
11 want uncertain information, or I do want it, but more if  
12 I get uncertain information how are you going to help  
13 me? What kinds of resources are going to be there to  
14 help guide me? How can our family cope together in  
15 order to address what that uncertainty looks like, and  
16 that includes what you mentioned before, which is that  
17 includes when there's phenotypic variability.

18           So, even if they have a diagnosis and  
19 there's phenotypic variability, families want to know  
20 what kinds of things can we be doing to look out for  
21 particular symptoms or particular things that might  
22 reflect that we don't know what an outcome might look  
23 like. There's that kind of prognostic uncertainty that  
24 we're hearing.

1           Dr. Ackerman, I know you've thought a lot  
2 about these issues too. I don't know if you wanted to  
3 weigh in as well.

4           DR. ACKERMAN: I think you expressed it  
5 wonderfully. Yes, I agree about the high  
6 tolerance -- in our study population, a high tolerance  
7 for uncertainty, but not only a desire for help in  
8 managing and obtaining services to help them, but also a  
9 real wish that they would be followed by the clinical  
10 team.

11           And so many of the families in our study  
12 knew that that was unlikely because they did not have  
13 access to clinical genomics care, in general, because of  
14 where they lived, because of their insurance, and so it  
15 was a sense that they dipped their toe into this elite  
16 world of very advanced medicine and then they went out  
17 the other side and they didn't know what was going to  
18 happen after that and I think that was particularly  
19 unfortunate, given a lot of these families day-to-day  
20 lives of struggle.

21           So, I think that's something that I feel is  
22 a real unanswered question, not just what researcher's  
23 ethical obligations are to study participants after  
24 their research ends, but overall, what is our obligation



1 to families who may not actually have easy access to  
2 follow-up care and to help resolve their uncertainty,  
3 potentially gain a more concrete answer some day as  
4 genomic science advances.

5 DR. CALONGE: Michele.

6 DR. CAGGANA: Hi, Michele Caggana, Committee  
7 Member. Thanks, Dr. Ackerman and Dr. Goldenberg for  
8 those talks, things that we think a lot about in newborn  
9 screening, and I agree that these studies are pretty  
10 much looking towards the consented population, and it  
11 reiterates my question to Dr. Bailey about how you get  
12 the full landscape, and I think within the world of  
13 newborn screening the word of the decade for us, at  
14 least, has been harmonization.

15 We've been trying to harmonize what we do,  
16 how we call results, how we count conditions, what a  
17 positive screen is, et cetera, et cetera. And I'm  
18 wondering with Screen Plus being a relatively large  
19 dataset that's going to get larger, is this a good  
20 opportunity to harmonize how these studies are set up to  
21 get family perspectives. And like you said, it's  
22 important when a question is asked, how it is asked, and  
23 I think the answers also depend somewhat on how the  
24 information is actually delivered from the health care

1 system to the family and hooking up to those services.

2           So, I'm wondering if there's any space to be  
3 able to set up some sort of a model that could be then  
4 used and put forth into the evidence review based on the  
5 types of data that you've both collected.

6           DR. GOLDENBERG: I mean I think absolutely I  
7 think there's an opportunity, just like as we do with  
8 clinical data, to think about harmonization, right?  
9 There's always this balance between harmonization and  
10 context, right, that we always have to be thinking  
11 about, which is on one hand we want harmonization and we  
12 want precision and we want shared understanding of our  
13 definitions, but at the same time you want studies to be  
14 able to ask questions the way that they need to for  
15 their research questions, right, for their goals or for  
16 their particular population or for their communities  
17 that they're working with, asking questions.

18           I use this as an example. Sometimes you're  
19 asking questions around trusting government in Flint,  
20 Michigan looks very different than even down the road in  
21 Ann Arbor, and we need to be able to be thoughtful about  
22 that and think about that. AT the same time, I think  
23 harmonization across sites is really important and I  
24 love the idea of a platform that we work on together

1 that we share that might help to inform the decision  
2 matrix.

3           And I'll say that I think even though these  
4 studies are consented we have found that there's a lot  
5 of families who maybe did have bad either experiences or  
6 bad outcomes who felt like there's never been an  
7 opportunity to talk about, who feel like they haven't  
8 been heard or that there haven't been opportunities to  
9 talk about their stories as well, right, that only  
10 success stories have been heard. And I think these  
11 studies do have an opportunity, while I think it is a  
12 challenge to bring in those maybe less heard voices.

13           And I have found in a lot of the work that  
14 we do that families who maybe felt like they've been  
15 harmed or wronged by programs want to talk about it,  
16 even though it maybe difficult or even though it may be  
17 a little bit different than the kinds of conversations  
18 that we're normally having in this space and I think we  
19 can do more of that. I think we can hear from those  
20 families a little bit more.

21           DR. ACKERMAN: So, we conducted a kind of  
22 supplemental study where we interviewed community-based  
23 service providers, including Special Education teachers,  
24 occupational therapists, regional center directors, and

1 others, and asked them if you encountered a child that  
2 just had an etiologic diagnosis with a very rare  
3 condition that you didn't know much about, what would  
4 you do with that information? They, almost without  
5 exception, said, look, if it's a condition that I know  
6 maybe that would be helpful, but it's just a really  
7 rare, rare disease or condition that it doesn't add much  
8 to my functional assessment. You tell me what I can do  
9 with it.

10           So, there really a sense that the knowledge  
11 emerging out of advanced genetic technologies is not  
12 being integrated or there isn't really any understanding  
13 of how to integrate it with existing approaches to  
14 assessments in schools and in other community settings,  
15 so that seems to be a real need. If we're going to be  
16 finding more and more rare variance, more and more rare  
17 conditions, how are we going to integrate those  
18 different types of knowledge? Because otherwise, we  
19 heard from people that, look, that's really interesting,  
20 but I don't really see how that's going to change what  
21 I've already figured out what this child needs in terms  
22 of their developmental trajectory.

23           DR. CALONGE: Chanika.

24           DR. PHORNPHTKUL: Chanika Phornphutkul,

1 Committee Member. I have a specific question for Dr.  
2 Ackerman about the study. So, the consent that was  
3 obtained -- what struck me was the family reported that  
4 they did not know or didn't recall the yield of this  
5 positive outcome, a variant of uncertain significance.  
6 Could you just share just who obtained the consent for  
7 that because for us who do genetic testing all the time  
8 and some sequencing, we have wonderful genetic  
9 counselors who focus on making sure that those are some  
10 of the key parts of talking to the family. Thank you.

11 DR. ACKERMAN: Thank you for that question.  
12 So, we also had really talented genetic counselors and  
13 clinic research coordinators who are obtaining consent.  
14 Maybe it would help to explain some of the context, and  
15 this is another advance, I think, of ethnography. We  
16 were in the room quite often watching this process. So,  
17 so many of our families required a medical interpreter  
18 to be present, but often this was being done by video  
19 interpretation, so there was a device in the room.

20 Families often brought their affected child  
21 and their other children, so the small clinical exam  
22 room was packed full of people, the consent was lengthy,  
23 there were surveys to be asked. I think it was an  
24 overwhelming experience for families and I think they

1 were really singly focused on, yeah, I want this test.  
2 This might help us end our search and let's get through  
3 this. So, I think it was conducted in a very rigorous  
4 way, but I think the families' priorities and values  
5 were not as much focused on the research.

6 And it's interesting, the clinical  
7 research distinction is really blurred in a lot of this  
8 emerging genomics research where you have to have  
9 families sign both a HIPAA form and a consent form  
10 because the research is generating clinical data as well  
11 as research data, so it's a lot for families to go  
12 through. It's a lot to expect them to remember,  
13 especially when there's a language barrier. So, I  
14 really think all of those things collided to make this  
15 process not always as clear and comprehensible to  
16 everyone as we would've liked.

17 DR. PHORNPHTKUL: Thank you. And just a  
18 quick question, which Dr. Aaron Goldenberg kind of  
19 mentioned a little bit. Are there tools to measure  
20 resiliencies from social science? Thank you.

21 DR. GOLDENBERG: Very quickly because I know  
22 we're running out of time. There are a number of tools  
23 that measure resiliency. There are a number of tools  
24 that measure tolerance to uncertainty. There are some

1 really amazing tools and measures out there. I think  
2 they're underutilized. And I can say, speaking as a  
3 social scientist that's worked on developing tools, we  
4 want people to use our tools. We want people to use the  
5 work we're doing, it's just a matter of figuring out the  
6 best ways to get them used because these are validated  
7 measures that are really fantastic.

8 DR. CALONGE: Shawn.

9 DR. MCCANDLESS: Thank you. And I want to  
10 echo what others have said. This was a really great  
11 presentation this morning, this whole session, so thank  
12 you. A quick question, I think, for both Dr. Goldenberg  
13 and Dr. Ackerman, related to context and the tolerance  
14 for uncertainty.

15 It seems to me in the data that you both  
16 presented that we examine tolerance for uncertainty in  
17 sort of an unselected population. We examine tolerance  
18 for uncertainty in a group of patients that have already  
19 developed tolerance to uncertainty because they've been  
20 living with an undiagnosed condition for some period of  
21 time.

22 What we haven't addressed is tolerance for  
23 uncertainty in a group of people who actually  
24 experienced it when they didn't expect to, which is the

1 newborn screening situation, right? You have a newborn  
2 baby, you get a test done, you have no idea the test was  
3 even done, and then somebody comes in and says here's a  
4 result. Don't really know what it means. We need to  
5 see what's going to happen over the next few years. How  
6 do we address the tolerance for uncertainty among that  
7 population specifically, or maybe it's been done, and I  
8 just missed it.

9 DR. GOLDENBERG: I'll just quickly say that  
10 there haven't been as many studies that have done that.  
11 There have been a few, the work of Stefan Timmermans and  
12 Mara Buchbinder did that in their book now maybe 10  
13 years ago, the work that Beth and I are working on, and  
14 others in the room, some of the work that Don's worked  
15 on before has talked to families directly after about  
16 what their experience was like getting an uncertain  
17 finding.

18 I think the numbers of families getting  
19 uncertain results is going up and that's actually the  
20 study that Beth presented, that Dr. - presented at the  
21 last meeting is exactly to do that, to capture families,  
22 to talk to families right after receiving that  
23 information, both quantitatively and qualitatively, and  
24 then to follow them over a course of a year, both



1 quantitatively and quantitatively to see what that kind  
2 of initial shock of getting uncertain information might  
3 be and then what that looks like in terms of coping  
4 mechanisms in a much longer-term fashion. So, I think  
5 that's incredibly valuable and needed, and I think the same  
6 thing- is happening in the larger genomic space.

7 Dr. Ackerman, I know you know this even  
8 better, kind of thinking about talking to people who all  
9 of a sudden are placed in a situation of uncertainty.

10 DR. ACKERMAN: So, I think our prenatal  
11 population may be more analogous to the newborn  
12 screening scenario and we were fortunate enough to be  
13 able to interview some of the families who decided not  
14 to undergo prenatal sequencing and it was partly because  
15 they just found it overwhelming. It was too much  
16 information, it was too much uncertain information, too  
17 unclear to them, how they should act on, if the  
18 pregnancy was far enough along that termination didn't  
19 feel like an option for parents.

20 They just felt like let's just wait until my  
21 child is born and then we can handle this. This is too  
22 much right now. But that was actually quite a small  
23 minority, so most of the families decided to continue  
24 with sequencing and amazing were pretty resilient in

1 receiving uncertain information. But what was hard for  
2 them was being faced with that during what was already a  
3 difficult pregnancy and then having questions about  
4 future reproductive decisions that really couldn't  
5 easily be answered for them at that time and I think  
6 they found that very stressful.

7           So, I don't think there's a simple answer to  
8 that question. It probably was harder for that  
9 population, I think, than our prenatal families who had  
10 years of experience not knowing what caused their  
11 child's condition.

12           DR. CALONGE: Natasha, the last question.

13           MS. BONHOMME: Hello, Natasha Bonhomme,  
14 Genetic Alliance. To the point of uncertainty, I think  
15 it's great that we are really starting to focus in on  
16 that, but newborn screening doesn't necessarily -- or  
17 even genomics doesn't really have an outsized share in  
18 terms of uncertainty.

19           We look at, you have pregnancy, and you go  
20 for your typical ultrasound and then, wait, what'd you  
21 mean something is there or you have a smooth pregnancy.  
22 It was great and wonderful and then all of a sudden,  
23 your child is in the NICU. So, I think really as we are  
24 hopefully having more of these projects looking at

1 uncertainty and newborn screening and genomics, we're  
2 putting in an even larger context of what uncertainty  
3 look like in medicine and when you're going through  
4 these different journeys. That wasn't my question.  
5 That was a comment.

6 But two questions or comments for Dr.  
7 Ackerman. The population you focused on, you're looking  
8 at underserved groups, correct, and then you focused on  
9 groups that were through Medicare, Medicaid, is that  
10 correct?

11 DR. ACKERMAN: Well, yes, because California  
12 doesn't really have many uninsured children.

13 MS. BONHOMME: Okay. I actually thought of  
14 this first with Dr. Bailey's presentation and then again  
15 with yours. So often when we're talking about  
16 underserved it seems to be really focused from, first  
17 and foremost, an economic lens, and yet, we do know that  
18 there are plenty of people who are underserved by our  
19 medical systems who have insurance and have all that.  
20 Our maternal mortality crisis in this country is a clear  
21 viewpoint on that.

22 I just didn't know if you have any  
23 opportunities maybe to compare groups who maybe are not  
24 economically disadvantaged, but still medicine is not

1 necessarily serving them or they're not getting the  
2 outcomes, kind of the same thing I'm thinking about as  
3 for Dr. Bailey's presentation in terms of family  
4 outcomes that underserved can mean a lot of things.  
5 It's not just from an economic perspective and just if  
6 you had done any work or are thinking of doing any work  
7 or any other works, is happening to compare that.

8 DR. ACKERMAN: Great question, and I have  
9 way more to say about that than we have time for, but to  
10 just short answer and say, yes, we struggled with NIH's  
11 definition of underserved, relying on the medically  
12 underserved areas category. Anyway, yes, we really  
13 struggled to conceptualize what are we talking about  
14 when we say underserved? We think we did not reach the  
15 truly underserved because a lot of those families were  
16 not referred to our study or could not travel to be in  
17 it. And we know this to be true, especially for our  
18 prenatal study, because the demographics in the  
19 pediatric arm and the prenatal arm were completely  
20 different.

21 So, we actually had much more privileged  
22 families enrolling in the prenatal arm. There are a lot  
23 of reasons we can talk about why that might have been,  
24 but we're very concerned that we certainly don't think

1 we reached the truly underserved in either arm, but  
2 especially the prenatal arm. And these are people who  
3 either aren't obtaining good prenatal care. They maybe  
4 didn't get a prenatal ultrasound, which is required to  
5 be referred to the study, so I think there are a lot of  
6 unanswered questions.

7           And then, I alluded earlier to the capacity  
8 of our community hospital partners to actually  
9 participate in this kind of testing was really limited.  
10 This is where a lot of folks, not just economically  
11 underserved, but a lot of folks end up getting care is  
12 in community settings. So, there are so many dimensions  
13 to what we might mean by underserved and so the ELSI  
14 Team stopped using that term. We just started talking  
15 about who was in our study population, what their  
16 characteristics were, and who we thought we were not  
17 actually connecting with and why.

18           DR. BONHOMME: Great. Thank you.

19           DR. ACKERMAN: Thank you for that.

20           MS. BONHOMME: And I'll just email you my  
21 other questions. Thank you.

22           DR. ACKERMAN: Okay, that's great.

23           DR. CALONGE: Thanks, Natasha. These were  
24 great, as people have said, presentations. I think

1 really added to what we're thinking about in terms of  
2 what kind of information can inform us in the harms and  
3 benefits area, how we can best capture those and weigh  
4 them and hopefully we can move forward. We'll have more  
5 discussion this afternoon. With that, I'll turn things  
6 over to Leticia, who will discuss lunch.

7 CDR. MANNING: This is for you, Jennifer.  
8 As I stated earlier this morning, the cafe is straight  
9 ahead there. The lines shouldn't be that bad today  
10 since it's a Monday. There's also a shop where you can  
11 pay via credit card, self-pay over there that has  
12 sandwiches and chips and drinks of sorts. So, please  
13 return here by 1:00 p.m. and we'll start the afternoon  
14 off with public comments. Thank you.

15  
16 **Public Comment**  
17

18 DR. CALONGE: During our meetings, these  
19 couple of days, we're going to actually have two public  
20 comment periods. One today with 10 oral public comments  
21 and then comments for tomorrow specifically around  
22 Krabbe Disease. We also received four written comments  
23 that were shared with the Committee previously as our  
24 materials were sent out.

1 I am asking, that in the order I have focus  
2 on my sheet, you come up to this microphone and present  
3 to the Committee, and I appreciate you all being here.  
4 We're going to start with Maria Kefalas, who is online.  
5 Thank you.

6 MS. KEFALAS: Can you hear me?

7 DR. CALONGE: Yes.

8 MS. KEFALAS: Wonderful. Thank you. My  
9 name is Maria Kefalas, the cofounder of Cure MLD, an  
10 advocacy group that works on behalf of children impacted  
11 by metachromatic leukodystrophy.

12 Two days ago on January 27th, it was the  
13 10-year anniversary of the death of Loie Hammond. She  
14 was the only daughter of my dear friends, Matt, and  
15 Lauren Hammond. Loie received her MLD diagnosis on  
16 Christmas Eve and she succumbed to the disease three  
17 years later.

18 Because the disease attacked Loie's GI  
19 system, even with a G-tube, her doctors could not find a  
20 way to feed her. That was the main reason for her  
21 death. In the final years of her life, the only thing  
22 that brought Loie any relief was being held in her  
23 parents' arms. MLD parents of untreated children will  
24 tell you how the most reliable medicine we have for this

1 disease is holding our children, letting them hear our  
2 hearts beat against their ears and telling them over and  
3 over again how much they are loved.

4           But this year, instead of celebrating Loie's  
5 14th birthday, Loie's parents are counting down the days  
6 until FDA approval for OTL 200, a miraculous gene  
7 therapy that will ensure that no child suffers as Lowie  
8 did. Experts call it one of the most transformational  
9 gene therapies ever invented, but for gene therapy to  
10 work, MLD needs to be diagnosed at birth since gene  
11 therapy cannot reverse the damage to the brain and  
12 central nervous system.

13           In the coming months, the members of this  
14 Board will have it in your power to transform MLD into  
15 this generation's polio. It is in your power to make  
16 MLD a footnote in medical textbooks. There is no doubt  
17 the ACHDNC will come to see the RUSP approval for MLD as  
18 one of the most singular achievements of newborn  
19 screening in the United States during this era of  
20 genomic medicine.

21           We, in the MLD community, are ready to honor  
22 the children we have lost. This is Loie's legacy. It  
23 is time. Thank you.

24           DR. CALONGE: Thanks so much, Maria. Thanks



1 for joining us. Next, I have Paul Orchard, who's also  
2 via the Internet. Paul, are you with us?

3 DR. ORCHARD: I am. Can you hear me?

4 DR. CALONGE: I can. Thank you so much.

5 DR. ORCHARD: Excellent. I very much  
6 appreciate the opportunity to talk to the group today.  
7 I'm Paul Orchard. I'm a pediatrician trained in  
8 hematology, oncology, blood marrow transplant, and I  
9 wanted to talk also about metachromatic leukodystrophy  
10 today.

11 So, my clinical interest is the use of  
12 cellular therapies as treatment for rare, inherited,  
13 life-threatening disorders. Over the years in  
14 Minnesota, we've transplanted approximately 50 patients  
15 with MLD. It's very clear to me that transplantation is  
16 not curative. In addition, morbidity and mortality of  
17 transplant has been high, 15-20% of the patients die  
18 actually going through the procedure, so we clearly need  
19 something better.

20 Fortunately, as Maria had mentioned, an  
21 alternative therapy is becoming available, ex vivo lenti  
22 gene therapy approach, utilizing the patient's own blood  
23 stem cells, introducing a normal copy of the  
24 arylsulfatase gene into the cells.

1                   Clinical trials in Europe have been  
2 compelling in terms of their data and it's now licensed  
3 therapy in the EU, and I'm optimistic that will soon  
4 become licensed therapy in the U.S. as well. The FDA is  
5 currently considering this and potentially as early as  
6 March it may be approved. However, despite the  
7 potential for this new therapy, it became clear that  
8 it's really the pre-symptomatic patients that are going  
9 to benefit from this. The vast majority of the patients  
10 that were treated in the clinical trials were second  
11 siblings, but diagnosed after a prior sibling was  
12 symptomatic. For those symptomatic brothers and  
13 sisters, there's really nothing to offer and those  
14 patients go onto die.

15                   So, it's fundamentally important to identify  
16 these children as soon as possible. The ability to  
17 newborn screening has been developed. It's been piloted  
18 in a number of places, including in Germany, where they  
19 identified two children that went onto get gene therapy  
20 based on newborn screening.

21                   So, in summary, I believe we'll soon have  
22 a safer, more efficacious therapy for MLD, but if we can't  
23 identify these patients in a pre-symptomatic state, they  
24 will not have access to it. Development of newborn

1 screening for MLD is, in my view, critically important  
2 and hopefully the addition of the MLD to the RUSP will  
3 be something that we could move forward quickly. Thank  
4 you very much.

5 DR. CALONGE: Thanks so much, Paul. And  
6 finally, here in the room we have Dean Suhr.

7 DR. SUHR: Good afternoon and thank you for  
8 letting me speak to the Committee and the advisors here.  
9 On Paul Orchard's behalf, I'd just like to make a quick  
10 disclaimer. We love, Paul. He's a transplanter. He is  
11 not affiliated with Orchard Therapeutics, an entirely  
12 separate entity, so he brings different information to  
13 the table.

14 I wanted to talk about two things, three  
15 things, actually, today. One is the RUSP Roundtable,  
16 which I've been mentioning in the last couple of  
17 meetings. Assuming that this Committee meets in person  
18 in May, we'll be meeting on the Wednesday before it. If  
19 you go [RUSPrountable.org](http://RUSPrountable.org) to learn more and to help  
20 contribute to our agenda if you want to participate.

21 RUPS alignment with MLD is really a reality  
22 for us. Every life foundation has been tremendous work  
23 bringing nearly a dozen states onboard with RUSP  
24 alignment and they have several more. We were also able

1 to do a RUSP-alignment-like bill with the State of  
2 Illinois, so we'll, presuming that you all approve the  
3 nomination once it goes through the rigorous process,  
4 will have 51% of the babies in the U.S. screening  
5 because of RUSP alignment efforts and I think that's  
6 something to be very proud of.

7 But we know that RUSP alignment is not a  
8 click your fingers thing. The real work is not in the  
9 legislature. It's at the state labs and so we're going  
10 to continue our work with the state labs to help them  
11 solve their issues and their concerns one by one by one  
12 as they implement.

13 I'm going to skip over much of what's on the  
14 rest of this because you heard this from Maria and Paul,  
15 Dr. Orchard. The MLD newborn screening pilots  
16 continues, both in the U.S. and in Germany. Over  
17 200,000 babies screened, four have been identified, two  
18 of them have been onto therapy, but the therapy is not  
19 immediately at birth, it's months after birth, so the  
20 third baby has not seen that therapy so far.

21 There are publications that have been made  
22 and publications that are being finalized. We froze the  
23 data on December 31st, anticipating this March PDUFA FDA  
24 approval and, of course, that's a checkbox on the

1 nomination form. So, we hope to have our nomination,  
2 with not only our data, but the FDA approval later on in  
3 March.

4           The only thing I'll say about gene therapy,  
5 and those of you who know me well, these words don't  
6 come out of my mouth easily, but it's all but curative.  
7 I probably won't ever say it's curative, but when given  
8 pre-symptomatically, which is newborn screening to  
9 identify the patients, these children go onto live  
10 normal lives. They run, they walk, they are  
11 intellectually and physically competent in comparison to  
12 all of our kids and grandkids.

13           So, we are submitting the RUSP nomination as  
14 soon as we can, again, pending the FDA approval and the  
15 summary with this data. We've got standards of care,  
16 we've got some genotype-phenotype correlation data and  
17 information that's in place that will allow for good,  
18 positive referrals because we have multiple forms of the  
19 disease.

20           So, we look forward to maybe the next time  
21 that we all meet together here that you might be voting  
22 or considering to be voting that MLD nomination. Thank  
23 you.

24           DR. CALONGE: Thank you, Dean. We're going

1 to turn now towards public comments around the Duchenne  
2 Muscular Dystrophy. I'm going to start with the Jyoti  
3 Bharadwaj.

4 MS. BHARADWAJ: Hi. Good afternoon,  
5 everyone. I'm a parent for a child who's 14-years-old,  
6 a boy, his name is Iyan. He has Duchenne Muscular  
7 Dystrophy. My son was diagnosed at the age of around  
8 three and a half, and this particular disease is a more  
9 severe form of muscular dystrophy, which causes  
10 progressive degeneration of the muscles. The general  
11 progression of this disease is that they lose their  
12 ambulation by the time they're eight or nine, restricted  
13 to a wheelchair.

14 They lose the function of their upper body  
15 by the time they are in their late teens, around 17, 18.  
16 And by the time they reach their early twenties, we  
17 unfortunately lose them due to their organ failures and  
18 their heart failure, largely.

19 This disease was found around 50 years back  
20 and the work has been ongoing on this since a long time.  
21 The current therapies that have come into the market are  
22 exon skipping and gene therapy. The gene therapy that  
23 has been recently approved is for four- to six-years-old  
24 by Sarepta and this is the name of the product. It's

1 fantastic. I have seen the videos and I have a track  
2 with the children and it's great to see kids who are  
3 five-year-old and four-year-old who are running, jumping  
4 into the pool, and having a good time.

5 It doesn't sound much to the rest, but when  
6 you see your child running for the first time you cry.  
7 You stand and cry over there because that's not  
8 something that you've ever seen.

9 Unfortunately, with this disease the  
10 progression reflects in a child when they are somewhere  
11 around seven years old or six years old. So, getting  
12 access to this drug at the right time and as early as  
13 possible is extremely critical. Newborn screening is  
14 going to probably change the trajectory of this disease  
15 completely for the kids. They will have a better  
16 quality of life and probably live a normal life. Thank  
17 you.

18 DR. CALONGE: Thank you so much. Next, we  
19 have Paul Melmeyer.

20 MR. MELMEYER: All right. Well, good  
21 afternoon, everybody. Thank you for the opportunity to  
22 comment on the ongoing review of Duchenne Muscular  
23 Dystrophy for consideration for the Recommended Uniform  
24 Screening Panel. I am Paul Melmeyer. I'm the Vice

1 President of Public Policy and Advocacy at the Muscular  
2 Dystrophy Association.

3 MDA is proud to serve the Duchenne, Spinal  
4 Muscular Atrophy, and Pompe Communities, along with  
5 many other rare, neuromuscular disease communities.  
6 And actually, on a note of celebration, SMA has now  
7 screened for in all 50 states and D.C., which is an  
8 incredible milestone for the SMA community.

9 First and foremost, we're very grateful for  
10 the Committee's ongoing full evidence review of the  
11 Duchenne nomination, particularly the work of Dr. Kemper  
12 and his team, as the technical expert panel on which MDA  
13 is represented. We look forward to continuing to  
14 contribute to the evaluation during these quarterly  
15 ACHDNC meetings, the TEP, and any other appropriate  
16 venue.

17 The treatment landscape for Duchenne is only  
18 becoming more favorable. With about six months of  
19 experience now with Elevidys, the Duchenne clinical  
20 field now has in dosing four- and five-year-olds with  
21 Duchenne. We're very pleased that while access  
22 challenges have occurred, to our knowledge, every  
23 barrier has actually been surmounted and each eligible  
24 boy prescribed Elevidys has successfully obtained the



1 gene therapy.

2           Access challenges have included Medicaid  
3 agencies, slow-walking the addition of Elevidys to their  
4 formularies. Commercial plans have considered Elevidys  
5 to be experimental. This is despite, of course, FDA  
6 actually approving the product. Self-insured plans have  
7 carved out gene therapies in their entirety from their  
8 formularies and facilities have borne quite the economic  
9 and financial costs by having to purchase the very  
10 expensive gene therapy, and then buy-and-build, having  
11 to seek reimbursement thereafter.

12           Nonetheless, through very strong advocacy  
13 from the community, from groups like Little Hercules  
14 Foundation, from PPMD, from the Muscular Dystrophy  
15 Association, each of these barriers have been overcome.  
16 In the last several weeks, we convened many of the  
17 Duchenne clinical experts, gene therapy prescribers, in  
18 particular, to discuss a variety of challenges ongoing  
19 within gene therapy development and access.

20           And what we heard pretty uniformly was  
21 certainly a trend in positivity towards the actual  
22 prescribing and access of Elevidys, especially compared  
23 to when we convened the same group just last year. In  
24 addition, we're hearing within our gene therapy support

1 groups stories similar to the one you just heard about  
2 boys running and swimming and jumping for the very first  
3 time in their lives and how meaningful that is. Of  
4 course, not only to them, but to their families and to  
5 their entire support network.

6 So, with the Agamree soon hitting the  
7 market, Deflazacort soon going generic, and the  
8 potential expansion of Elevidys labeled beyond four- and  
9 five-year-olds potentially later this year, additional  
10 therapies advancing through the pipeline, clearly the  
11 landscape of treatment for those with Duchenne has never  
12 looked brighter. Thank you very much.

13 DR. CALONGE: Thank you. Next, I would like  
14 to turn online, starting with Jennifer Handt.

15 MS. HANDT: Thank you and good afternoon.  
16 My name is Jennifer Handt. My son, Charlie, age six  
17 now, was diagnosed with Duchenne Muscular Dystrophy in  
18 late 2020 and I then learned that I'm a carrier of his  
19 disease mutation. Before diagnosis, we spent the first  
20 thousand days of his life wondering why he was  
21 developing so slowly, why he wasn't crawling or walking,  
22 or pulling himself up.

23 We asked ourselves constantly was it  
24 something to worry about. Our pediatrician told us

1 repeatedly that it was probably nothing and of course we  
2 desperately wanted to believe her. So, during those  
3 thousand days, we did things we now regret. We received  
4 physical therapy for Charlie through the Connecticut  
5 Early Intervention Program. Without a DMD diagnosis, we  
6 followed a protocol that pushed baby's unprotected  
7 muscles too hard to catch up.

8 Our baby, who couldn't tell us how difficult  
9 or even painful those exercises must've felt. It's  
10 heartbreaking to think about that now. During our time,  
11 our concerns kept us up at night, but we thought about  
12 the extensive newborn screening every baby goes through.  
13 Surely, that would've told us if something was seriously  
14 wrong. We had no idea what was going on, one of the  
15 most common genetic disorders in place was somehow not  
16 on that newborn screening for life-threatening disease.

17 So, beyond the psychological burden of  
18 delayed diagnosis, which we absolutely experience, why  
19 is this problematic? Right now, medicine is evolving at  
20 a rapid clip for DMD. We're at a crucial pivot point  
21 with transformative treatments approved and in trials.  
22 Yet, even before these advances, high quality care alone  
23 has made a difference in DMD outcomes.

24 Numerous studies have demonstrated that even

1 in the absence of targeted treatments, coordinated care  
2 for DMD alone has resulted in a full 10-year increase in  
3 life expectancy. The sooner patients can be diagnosed  
4 and begin this care the better, and the sooner we  
5 routinely screen babies, the sooner we can truly track  
6 how impactful early treatment really is.

7           For us, once we finally got the diagnosis at  
8 age three, we got lucky. We got in with a certified  
9 care center quickly and got Charlie on steroids. He  
10 turned four at just the right time to qualify for the  
11 Phase Three trial of gene therapy now known commercially  
12 as Elevidys. We are so grateful for the benefits of  
13 Elevidys that we have observed in Charlie so far.  
14 Notable improvements in stamina and strength, even the  
15 loss of the hallmark Gowers Sign when Charlie gets up  
16 off the floor.

17           But I often wonder what if that timing  
18 hadn't worked out so well. It should've not taken three  
19 years to get this diagnosis. What if, instead, he had  
20 turned six this past August without having had access to  
21 Elevidys, which was approved for four- and  
22 five-year-olds only? It's really hard to think about  
23 that now.

24           As Elevidys and other treatments become

1 broadly available, a delay in diagnosis is unnecessary  
2 and harmful. It simply does not reflect the current  
3 state of science and medicine. There's absolutely no  
4 reason in 2024 for parents to play a guessing game or  
5 hope for lucky timing with potential treatments or  
6 clinical trials. Parents should have the power of  
7 knowledge to make the best possible decisions for their  
8 children.

9           Duchenne is the most common pediatric  
10 muscular dystrophy. Modern medicine is on its heels and  
11 the standard newborn screen is a critical tool we need  
12 to beat it. I urge you to add DMD to the recommended  
13 screening to let science lead the way and put an end to  
14 the guessing game that far too many families continue to  
15 play. Thank you.

16           DR. CALONGE: Thank you, Jennifer. Next, we  
17 have Bill Marshall.

18           DR. MARSHALL: Good afternoon. Okay, I'm a  
19 retired pediatrician. I have two grandsons who were  
20 recently diagnosed with Duchenne Muscular Dystrophy. My  
21 oldest grandson was 32 months old when diagnosed. It  
22 came as a shock. I'd had some concerns about motor  
23 development but contributed this to normal variation in  
24 gait and milestones and perhaps some mild hypertonia.

1           He'd received regular pediatric care, and  
2     aside from a hospitalization for a respiratory virus and  
3     had no major illnesses or developmental concerns. He  
4     was receiving physical therapy. The first year after  
5     diagnosis has been full of life-altered decisions for  
6     our family. His physician/scientist mother, his  
7     biophysics dad had worked with amazing energy to get him  
8     the best medical care and care for his subsequently  
9     diagnosed little brother, Leo. Extended family and  
10    friends have offered and given physical and emotional  
11    and spiritual support. The past year has reinforced my  
12    support for newborn screening, even more than clinical  
13    data and clinical experience.

14           As I began my career in the 1970s, I saw  
15    that screening could do what traditional medical care  
16    did not, make an early diagnosis for treatable  
17    disorders. When congenital hypothyroidism, for example,  
18    had to be diagnosed clinically, it was often too late.  
19    Duchenne's is an analogous situation today. Although  
20    the cure is not yet available, treatment with  
21    established therapies like steroids, new medications  
22    like Exon Skipping drugs and gene replacement therapy  
23    and other modalities showing great success.

24           Beyond medical therapies, earlier

1 recognition by newborn screening will give families the  
2 time and space they need to understand the diagnosis and  
3 plan for their new reality: where to live, what home or  
4 apartment to live in, whether to have more children are  
5 some of the decisions that must be made. As well, early  
6 diagnosis will prevent misunderstanding and appropriate  
7 treatment and needless investigations.

8           As the past year has shown our family, these  
9 challenges can be overwhelming. Newborn screening will  
10 allow all families to begin the steps needed to give  
11 their child the best care. I spent my years in  
12 pediatrics caring for children from underserved  
13 families. Real health equity can only begin when all  
14 newborns are screened and then have the prompt,  
15 comprehensive medical care, therapy, and peer support  
16 that will make for the best outcomes. I've seen too  
17 many preventable poor outcomes in other disorders, such  
18 as misdiagnosis, lack of medication, interruptions in  
19 therapy, that often result from families' difficulties  
20 in navigating our very complex health care system.

21           In summary, newborn screening offers the  
22 time families need for understanding a child's illness,  
23 the time for life realignments, and the time for early  
24 interventions with existing and new therapies. Thank

1 you very much.

2 DR. CALONGE: Thank you. Next, we have  
3 Aravindhan Veerapandiyan.

4 DR. VEERAPANDIYAN: Thank you. Good  
5 afternoon, everyone. On behalf of the Duchenne  
6 condition community, thank you for the opportunity to  
7 speak today. So, my name is Dr. Aravindhan  
8 Veerapandiyan. I go by Dr. Panda for my patients. I'm  
9 an associate professor of Pediatrics at the University  
10 of Arkansas for Medical Sciences at Arkansas Children's  
11 Hospital.

12 I run the Comprehensive Neuromuscular  
13 Program here, and I also lead our certified Duchenne  
14 Care Center, Arkansas Children's Hospital where we  
15 follow the 150 children with Duchenne and regular  
16 muscular dystrophies. I am also the principal  
17 investigator for multiple clinical trials for Duchenne,  
18 including the gene therapy trials from Region X, Pfizer,  
19 Sarepta, and other downstream therapies, such as CAP 102  
20 and Edgewise, et cetera.

21 So, Duchenne Muscular Dystrophy currently  
22 has seven FDA approved therapies, two Duchenne specific  
23 corticoid steroids approved for all children ages two  
24 and up, and four mutation specific exon skipping



1 therapies that are also available to all ages and a gene  
2 therapy that was recently approved for children aged  
3 four and five years.

4 Another therapy is under FDA review and more  
5 than 20 potential therapies that are in clinical trials.  
6 At the typical age of diagnosis, children with Duchenne  
7 have muscle damage that is currently irreversible. When  
8 muscle tissue is replaced by fat and fibrosis there is  
9 no known way to regain it. We have tried multiple  
10 alternative mechanisms to improve the age of diagnosis,  
11 to reduce the age of diagnosis.

12 The speakers before were exceptional in  
13 terms of age of diagnosis. We are still diagnosing boys  
14 with Duchenne at age seven, age eight. Those mechanisms  
15 that we have tried are not working. They have not been  
16 successful. This is in stark contrast to the success of  
17 newborn screening. The benefits of newborn screening  
18 for Duchenne Muscular Dystrophy are exponential, enables  
19 implementation of the standards of care, which include  
20 early intervention services and also considerations for  
21 corticoid steroids early on.

22 Newborn screening means children have access  
23 to newly approved therapies, disease modification  
24 therapies, including exon skipping and gene transfer

1 therapy much earlier in the disease process where there  
2 is less muscle damage and fibrosis. It also enables  
3 them to participate in the clinical trials without  
4 concerns of aging out. It gives families the  
5 opportunity to learn about the disease and the therapy  
6 and clinical trial options.

7           The newborn screening means that children  
8 have the diagnosis prior to starting their school, first  
9 learning the evaluations and identification of learning  
10 disabilities and other cognitive issues prior to school  
11 start so they can have appropriate therapies and  
12 support. For the families, it allows timely genetic  
13 counseling, identification of carriers who are risk for  
14 their own health concerns, earlier development of  
15 psychosocial support and time to consider how to best  
16 incorporate the diagnosis into the family, which can  
17 also affect many downstream choices, such as housing and  
18 other support.

19           We greatly appreciate the opportunity for  
20 Duchenne to be discussed again today and I thank you so  
21 much.

22           DR. CALONGE: Thank you. Next, I have Mindy  
23 Cameron.

24           MS. CAMERON: Hi, my name is Mindy Cameron,

1 and I'm the mother of two sons, including a 22-year-old  
2 son named Christopher who lives with Duchenne. Thank  
3 you for allowing me a few minutes to talk about my  
4 support for including DMD on the federal RUSP.

5           Newborn screening would make diagnosis,  
6 access to specialized care, and early treatment for  
7 affected children possible. Without it babies born with  
8 DMD will miss the opportunity for the earliest and most  
9 effective interventions to substantially slow disease  
10 progression, thereby extending their ability to  
11 experience a more typical childhood and more inclusive  
12 young adult life, and a better chance at survival into  
13 adulthood. We know improved health improves lives.  
14 This is no different in Duchenne Muscular Dystrophy.

15           My son did not have the earliest  
16 interventions and as he enters his final year of college  
17 as undergraduate, he is entirely dependent on caregivers  
18 for the most basic daily living and self-care. I can't  
19 help but wonder what his current situation would be if  
20 he had had the opportunities that are available today,  
21 if his disease had progressed more slowly and he had  
22 been able to preserve and maintain some of his now lost  
23 capabilities.

24           Would he be able to lift himself out of bed

1 and into his wheelchair on his own? Would he be able to  
2 prepare his own meals? Would he be able to even have a  
3 modicum of privacy when he has to use the bathroom or  
4 take a shower? Would he be able to take off his coat  
5 and hat when he arrives at class on a full day? Would  
6 he have more years to enjoy his hobbies, develop  
7 relationships, and earn a living as a writer?

8           But I remind myself that there were no early  
9 interventions when Christopher was born. There are now.  
10 We have seven approved FDA therapies. Children born  
11 with DMD today have a very different journey and I  
12 believe they should be given all the tools we have to  
13 flourish and thrive in the face of this truly diabolical  
14 diagnosis.

15           In closing, I want to add that newborn  
16 screening is also important so that the health of the  
17 mother can be assessed, monitored, and treated, if  
18 necessary. I did not discover that I was a carrier of  
19 Duchenne until my son was nine. By the time I had my  
20 first cardiac MRI, when I was in my mid-forties,  
21 significant fibrosis consistent with DMD was found and  
22 today I take three medications to help preserve the  
23 health and function of my own heart. My most recent MRI  
24 done just last month showed stability over the past five

1 years thanks to these interventions, but I wonder if  
2 damage could've been diminished if I had started  
3 treatment earlier.

4           We have extensive carrier screening now. We  
5 are beginning to gain traction in access to specialized  
6 care for carriers. Adding DMD to the RUSP would  
7 identify many carrier moms and the relevant family  
8 members earlier. Early intervention saves and extends  
9 lives and improves the health for everyone affected by  
10 this condition. I believe the time is right for the  
11 addition of Duchenne Muscular Dystrophy to the  
12 Recommended Uniform Screening Panel. Thank you.

13           DR. CALONGE: Thank you, Mindy. And next,  
14 we have Lauren Stanford.

15           MS. STANFORD: Hi. Good afternoon,  
16 everyone. On behalf of Parent Project Muscular  
17 Dystrophy, PPMD, and the Duchenne patient community,  
18 thank you for the opportunity to speak today. My name  
19 is Lauren Stanford and I'm the Director of Advocacy at  
20 PPMD. We are grateful for the Committee's continued  
21 full evidence review of the Duchenne nomination package.  
22 We look forward to continuing to help the Committee in  
23 any way possible as they continue this review.

24           Duchenne currently has multiple approved

1 therapies and is in the middle of dramatic changes in  
2 treatment paradigms with more than 20 additional  
3 potential therapies in development. The approved gene  
4 therapy, Elevidys, is currently being dosed in four to  
5 five--year---olds and there is hope for an expanded  
6 label later this year.

7           The approved treatments are effective, but  
8 they are also long-term. They require long-term  
9 treatment and then provide long--term benefits.  
10 Traditional outcome benefits are unlikely to be visible  
11 in early childhood for Duchenne, because the typical  
12 development of disease course. It would be so  
13 beneficial to find a cohort of voice- diagnosed at or  
14 near birth and then follow them for five or 10 or really  
15 15 years, but that has not been possible in the past.

16           Newborn screening for Duchenne would allow  
17 for those living with Duchenne to receive treatment  
18 earlier and then we'd be able to collect this data.  
19 Newborn screening saves lives, but current treatments  
20 for Duchenne are not cures. However, the available  
21 treatments do delay or slow muscle damage and because  
22 they are slow in delaying muscle damage, we know they  
23 are going to get benefit from newborn screening.

24           As far as how much benefit, it is going to

1 take years to really know what that looks like. We've  
2 gotten survival to the late twenties with our SOC  
3 treatment. Maybe we'll get another five years of  
4 walking or ten years of incredibly important upper  
5 extremity use, or another 20 years of life, and every  
6 single one of those would make Duchenne newborn  
7 screening worth it. We hope that the Committee will see  
8 the value of adding Duchenne to the RUSP. Thank you.

9 DR. CALONGE: Thank you so much. This ends  
10 our first public comment period. I want to thank  
11 everyone who came to the Committee and shared your lived  
12 experiences, your families' stories, and your expertise.  
13 It's an important part of federal advisory committees,  
14 something we value, and something we couldn't do our  
15 work well without that input, so again, my appreciation  
16 for everything that you do, and you've done for the  
17 Committee and newborn screening moving forward.

18  
19 **Duchenne Muscular Dystrophy Evidence-Based Review:**  
20 **Phase 2 Update**  
21

22 DR. CALONGE: As you all know, in August of  
23 last year we voted DMD to go forward for a full evidence  
24 review and this afternoon and next actually, we're going

1 to have a presentation from Dr. Alex Kemper for the  
2 Phase II part of the study. Dr. Kemper is the Division  
3 Chief of Primary Care Pediatrics at Patient- wide  
4 Children's Hospital and Professor of Pediatrics at the  
5 Ohio State University College of Medicine. His research  
6 focuses on the delivery of preventive care services,  
7 including newborn screening, and since 2013, Dr. Kemper  
8 has served as the deputy editor of Pediatrics. So,  
9 we're so thrilled to have him here to present Phase II  
10 updated.

11 DR. KEMPER: Thank you very much, Dr.  
12 Calonge, and it's a pleasure to be able to give an  
13 update with where we are with our work. With this  
14 update, the primary goal is just to let you know where  
15 we are with evidence review. We're not going to drill  
16 in too deep to the evidence today because we want to  
17 make sure that when we come back at the next meeting, we  
18 can provide a full and balanced view about what is known  
19 instead of drilling into just small areas.

20 Of course, I'd like to thank everybody who  
21 is a member of our evidence review group, as well as Dr.  
22 Dorley and Dr. Phornphutkul, who serve as the liaisons  
23 for the Advisory Committee to our work. But perhaps,  
24 most importantly, I want to thank our Technical Expert



1 Panel members who really helped guide us through the  
2 evidence and make sure that we understand things  
3 appropriately. We couldn't do our work without their  
4 involvement.

5           So, now for a quick update on our  
6 activities, we've had the first Technical Expert Panel  
7 callback in October and we're planning for a second call  
8 in February or March to go over where we are with the  
9 evidence review and make sure that we're understanding  
10 things appropriately. The literature review is in  
11 progress. As you might expect about DMD, there's a much  
12 larger body of literature than there is for some of the  
13 other rare diseases that we've looked at with in excess  
14 of 7,000 articles that we are going through.

15           We've begun the process of the Public Health  
16 System Impact Assessment. There was a webinar that was  
17 held on January 17th to review the salient features of  
18 Duchenne Muscular Dystrophy newborn screening with  
19 representatives from the state newborn screening  
20 programs. There's a survey that is open and it'll stay  
21 open for about another month, and we've just begun  
22 scheduling key informant interviews.

23           Next, we're going to be also -- and this  
24 will begin with the next Technical Expert Panel call

1 discussing the decision analytic modeling that is asking  
2 ourselves what might happen if you were to screen all  
3 3.65 million newborns in the country each year for DMD.  
4 And then, of course, our plan at the next meeting of the  
5 Advisory Committee to have the final evidence review.

6           So, in terms of newborn screening activity,  
7 I do want to let you know that there are two states with  
8 legislation for DMD newborn screening, and in addition,  
9 in Minnesota, Arizona, and Illinois there's a lot of  
10 activity that will likely lead to DMD newborn screening  
11 in the near term.

12           I want to talk a little bit about the  
13 treatment. We had heard previously about the  
14 FDA-approved therapies. This is a list of the exon  
15 skipping drugs, which received accelerated approval from  
16 the FDA. When you look at what lead to the approval, in  
17 general, it's mean change in dystrophin, not necessarily  
18 a functional clinical outcome, but this biomarker of  
19 mean change in dystrophin you can see the years that  
20 these drugs were approved, ranging from 2016 to 2021.  
21 The particular exon that's skipped, a summary of the  
22 pivotal studies that were done and then clinical  
23 outcomes where they have been reported as part of the  
24 package leading to this FDA accelerated approval.

1           Again, I just want to highlight that most of  
2 the focus has been on the mean change in dystrophin, so  
3 as you'll hear about in a little bit, one of the things  
4 that's really important for us to be able to look at and  
5 inform the Advisory Committee is what we know about the  
6 relationship between biomarkers and functional outcomes.

7           Gene therapy, it's my goal that by the time  
8 I come back to present to the Advisory Committee that I  
9 can pronounce the generic name for gene therapy, but  
10 don't hold me to that. The gene therapy received FDA  
11 approval for children ages four and five. You heard a  
12 little bit about this from the public comment a little  
13 bit ago, and it's really critically important to think  
14 about the approval has been made because the average age  
15 of diagnosis would preclude gene therapy for many  
16 children, and based on registry data it's clear that  
17 minoritized children have a longer average time to  
18 diagnosis, which could lead to important disparities in  
19 access to therapy.

20           There have been three main studies of gene  
21 therapy and interpreting some of these studies is  
22 difficult. There was a problem in one of the studies  
23 with the dosaging error that reduced the effective  
24 sample size. There is listed here trends at 48 weeks

1 among subjects four- and five-year-olds towards  
2 improvement in the North Star Ambulatory Assessment,  
3 which is a standardized measure, but again, it's  
4 complicated because of trends in the NSAA over time and  
5 exactly where things were looked at. And again, these  
6 are small studies that were underpowered for some of the  
7 things we might want to look at in terms of functional  
8 outcome.

9           The other mean, medical therapy is  
10 Glucocorticoids, there's deflazacort. Again, you heard  
11 about that a few minutes ago, which was FDA-approved in  
12 2017. There was a randomized double-blind  
13 placebo-controlled trial for 12 weeks that had an  
14 extension and was associated with improved muscle  
15 strength compared to placebo in children who are five to  
16 15 years of age. And there was also another randomized,  
17 double-blinded placebo-controlled trial that went into  
18 140 weeks of treatments for loss of ambulation, again,  
19 with older children, six to 12 years of age that showed  
20 a difference in the loss of ambulation.

21           And then, in addition to deflazacort,  
22 prednisone can be used as a Glucocorticoid for  
23 treatments in children with DMD. It's typically started  
24 before the plateau phase, which is around four to five

1 years of age. So, one of the things that you can see is  
2 some of these gene therapies, Glucocorticoid therapy  
3 doesn't happen in infancy, but really at the ripe old  
4 age of four and five and so forth.

5           So, I do want to talk a little bit about  
6 areas of focus for the review. I talked a little bit  
7 ago about the link between the amount of dystrophin and  
8 functional outcomes and also the treatment benefits from  
9 presymptomatic identification. So, what are the  
10 benefits to the children identified in early infancy,  
11 especially when some of the medication therapies  
12 wouldn't be provided until later?

13           And that brings up the issue of  
14 non-pharmacologic interventions. So, in terms of the  
15 benefits to the individual and the family, again, we're  
16 still reviewing articles from the search. The Advisory  
17 Committee at one of the earlier meetings asked about  
18 studies of siblings where you can compare outcomes from  
19 an older sibling who might have been diagnosed through  
20 usual clinical care to a younger sibling who was picked  
21 up because of the diagnosis in the older sibling.  
22 That's been an important piece of the evidence for some  
23 of the other reviews that we've done.

24           We haven't identified any peer-reviewed

1 published sibling studies, but we did find three meeting  
2 abstracts that provide some information. But we're  
3 contacting the authors to get additional information and  
4 I'd like to hold off until we have a better sense, you  
5 know, given how brief abstracts are. There are some  
6 reasons that have been put forth to us about why we  
7 don't see these sibling studies related to, in some  
8 cases, families decide not to have another sibling once  
9 a child is diagnosed in a family and then there are also  
10 complications around genotype-phenotype correlation even  
11 between siblings.

12 In any case, we're still looking for this.  
13 And again, through the other articles that we're going  
14 through really trying to best identify the benefits to  
15 the individual and the family.

16 So, in terms of next steps, we're focusing  
17 on the impact of presymptomatic identification compared  
18 with usual clinical identification, looking specifically  
19 at individual and family benefit, inequities in  
20 diagnosis and treatment, and then understanding the  
21 relationship between biomarkers and patient-centered  
22 outcomes. We're also trying to better understand how  
23 screening might be implemented within newborn screening  
24 programs.

1           So, as I talked about in my last  
2 presentation, CK-MM is the standard first tier screen,  
3 but there are different ways of using it, right? So,  
4 you could do one CK-MM and if that's elevated move onto  
5 molecular analysis or you could repeat and so there's  
6 different ways of doing that. And then, once it's  
7 decided that the child would benefit from gene  
8 sequencing there are questions about who's going to do  
9 that. Is that done through the newborn screening lab as  
10 part of the work that the newborn screening lab does or  
11 is that part of a diagnostic referral? Again, that  
12 makes differences in terms of thinking about how this  
13 would be operationalized if it were to be recommended.

14           Again, we're focusing on understanding  
15 perspectives from the newborn screening programs as part  
16 of the PHSI survey that we do, and then modeling  
17 expected outcomes for screening the 3.65 million babies  
18 that are born each year. So again, this is a very  
19 high-level summary of where we are. I'm happy to answer  
20 any questions or take additional direction from the  
21 Advisory Committee.

22                           **Committee Discussion**

23           DR. CALONGE: Thank you, Alex. Let me open

1 the meeting to questions or comments, first with the  
2 Committee Members. Jeff.

3 DR. BROSCO: Jeff Brosco, HRSA. Thanks  
4 Alex. Could you say a word or two about the role of the  
5 TEP and how they help in this? As I understand it, they  
6 are folks that you think have the most expertise in this  
7 and part of it is that they can help guide you to  
8 literature that may not show up in the 7,000 or is easy  
9 to miss.

10 DR. KEMPER: I think you summarized it  
11 exactly right. So, there are 7,000 articles. We want  
12 to make sure that we're understanding this correctly.  
13 The other thing is the field has evolved, right, over  
14 many years and so helping us understand the lay of the  
15 land is critical. Again, we don't want to miss anything  
16 that's really important and so we do the best we can in  
17 terms of sharing our work product with the Technical  
18 Expert Panel. Beforehand, we're happy to talk to  
19 advocates to best understand. I mean, at the end of the  
20 day, our work is well defined by the manual of  
21 procedures that's been approved by the Advisory  
22 Committee in terms of the level of evidence and how we  
23 go about doing our work, but we wouldn't be able to do  
24 it without that kind of close partnership.



1 DR. CALONGE: Alex, maybe you want to wait  
2 until you understand it more, but you talked a little  
3 bit about the elements of the improvement assessment  
4 scale you're using.

5 DR. KEMPER: For the children?

6 DR. CALONGE: For the children.

7 DR. KEMPER: The standard one that's used is  
8 the North Star Ambulatory Assessments. I'm looking at  
9 Dr. Ream out there. He knows much more about it than I  
10 actually do, but that's the standard one that's used  
11 within the world of Duchenne Muscular Dystrophy, but  
12 there are other measures too of outcomes in terms of  
13 talking about trying to loss of ambulation, need for  
14 additional pulmonary breathing support, and stuff like  
15 that. But if you look across the studies that have gone  
16 to the FDA, it's the North Start Ambulatory Assessment  
17 that's generally used. And if you want particular  
18 details, then I'll plead the fifth and wait until the  
19 next meeting to share all of the elements that are in  
20 it.

21 DR. CALONGE: Fair enough. The question is,  
22 are there elements that might flatten the curve of  
23 improvement more than another, when you average them  
24 together, so I'll wait for that.

1 DR. KEMPER: We'll have the answer for you.

2 DR. CALONGE: Scott.

3 DR. SHONE: Scott Shone, org rep from ASTHO.

4 I guess, Alex, can you help me understand the not  
5 reported clinical outcomes for these and what does that  
6 mean in terms of how it got through approval and  
7 juxtapose that with where do you think you're going to  
8 find the data to help drive -- I thought I heard you  
9 say, so correct me if I'm wrong. There's not a lot  
10 published and there wasn't a lot in FDA, so where does  
11 that data come from for Phase III.

12 DR. KEMP: There's a lot that's published on  
13 DMD and on the use of the drugs and those kinds of  
14 things. What was reported to the FDA is, by and large,  
15 these biochemical markers of change and it's the  
16 patient-centered outcomes that I think usually carry the  
17 most weight, which we want to be able to provide. The  
18 other thing is that we're really focused, not on whether  
19 or not the drugs work, but is there an incremental  
20 benefit for the children that are  
21 detected -presymptomatically through screening or  
22 however else they might be identified- versus usual  
23 clinical care.

24 And I should have mentioned this, but I

1 didn't mention it in my talk, is that let's say  
2 something like gene therapy, right, you're not eligible  
3 for it until four years, although the FDA may lower  
4 that, but let's just say you're not eligible for it for  
5 four years. Does presymptomatic identification mean  
6 that by the time you're four years old and eligible for  
7 therapy that you're clinically better and more likely to  
8 have a better outcome?

9           So, it becomes very nuanced. It's not just  
10 a matter of looking at the direct benefit in terms of  
11 patient-centered outcomes, but also comparing the  
12 differences in patient-centered outcomes between early  
13 identification and later identification and those are  
14 the kinds of things that we're- really focused on.

15           At the end of the day, most of the  
16 information that I may provide when I come back, may be  
17 around biomarkers and those kinds of things, but again,  
18 I'm hopeful that we're going to find more articles  
19 around or evidence around patient-centered outcomes.  
20 Does that answer your question? -I know I kind of went  
21 off on a tangent.

22           DR. SHONE: Well, no, you clarified that the  
23 challenges seem to me to be linking the biomarker  
24 outcomes to the patient outcomes and that's where the

1 potential gap or yet to be identified.

2 DR. KEMPER: Yes.

3 DR. SHONE: All right, thanks.

4 DR. CALONGE: Online we have Melissa.

5 DR. PARISI: Hi, Melissa Parisi, from NIH.

6 And I just had a question for you, Alex, kind of  
7 reflecting some of the comments made during the open  
8 comment sections. Are there any data that you're aware  
9 of that actually show the prevention of damage or harm  
10 that can occur by earlier diagnosis, and I'm referring  
11 to some of the comments related to ensuring that kids  
12 with the diagnosis of Duchenne Muscular Dystrophy get  
13 appropriate physical therapy, access to steroids, and  
14 other interventions that will help preserve muscle  
15 strength and muscle function as long as possible.

16 DR. KEMPER: That's really one of the key  
17 questions that we're looking into. I'm afraid to give  
18 you an answer before we're all done in terms of  
19 potentially biasing the Advisory Committee in terms of  
20 giving a yes or no answer, those kinds of things. What  
21 I can tell you is that we're finding some evidence that  
22 would support that, but we want to follow it with  
23 authors, like the sibling studies that I mentioned  
24 before, to be able to get to that.

1           So, what I can tell you is that there is  
2 some evidence out there about it in terms of the  
3 magnitude of difference. I'd rather just not say until  
4 we've gone through it in greater detail, but that's the  
5 key question that we're focused on. I know that's  
6 unsatisfying, but I just don't want to give a wrong  
7 answer.

8           DR. PARISI: No, I appreciate it. You're  
9 still in the midst of the review, so I appreciate your  
10 response.

11           DR. CALONGE: Robert.

12           DR. OSTRANDER: Robert Ostrander, American  
13 Academy of Family Physicians. I want to jump back to  
14 your comment about one of the things we need to do is to  
15 sort out whether it makes sense to do newborn screening  
16 if we're not going to start treatment until four. And  
17 this takes us back to a discussion that I think we've  
18 had in the past about distinguishing between  
19 presymptomatic treatment and treatments that are driven  
20 by the usual approach to care because diagnosing someone  
21 through newborn screening, which reduces health  
22 disparities in addition, allows one to start a treatment  
23 that is indicated at age four, when perhaps the time to  
24 diagnose is with the usual clinical care might be six.

1 So, I'd be interested as you come back next time  
2 distinguishing between those two concepts, usual  
3 clinical care and presymptomatic care.

4 DR. KEMPER: You probably have another  
5 little rejoinder, was that the end of the question,  
6 because I just want to jump in real fast. So, there's  
7 really a couple of different issues you're talking  
8 about. One is if you identify somebody  
9 pre-symptomatically, by the time they become eligible  
10 for a particular intervention, are they doing better,  
11 right, less muscle damage and those kinds of things.  
12 But I think we need to be very careful, especially in  
13 the context to Duchenne Muscular Dystrophy to not think  
14 intervention equals medicine because there are lots of  
15 other interventions that can happen even before, say,  
16 four years and you get your gene therapy.

17 DR. OSTRANDER: I didn't want to beat that  
18 horse because I'm always the guy that ends up, stands  
19 up, and says that.

20 DR. KEMPER: I k now. I felt honored to be  
21 able to say that for you.

22 DR. OSTRANDER: I almost in my question said  
23 even with narrow diseased-focused medical therapies  
24 there may be advantage to diagnosis years before the

1 onset of treatment, but still where people would be  
2 delay because of the usual clinical care the diagnosis  
3 might not be made for a couple more years. Thank you.  
4 I guess I'd say that. I didn't beat that horse again to  
5 avoid consternation from all my friends here.

6 DR. CALONGE: Jennifer.

7 DR. KWON: I thought the questions that were  
8 raised were very important and it sounds like you've  
9 heard them as well and that hopefully at the next  
10 presentation you'll be able to connect some of these  
11 dots. But I just wanted to make sure that I understood  
12 Scott's question. Were you referring to the slide of  
13 exon skipping treatments and the lack of clinical  
14 outcomes and how that tied in with the biologic markers?  
15 And I think that in the Duchenne community, but just in  
16 the pediatric treatment community, as you probably know,  
17 there was some controversy about FDA approval for those  
18 treatments. And so, again, I think that's one of the  
19 things that we hope to hear more about.

20 But in response to Melissa's question, I  
21 think it was really about early treatment, how many of  
22 these drugs are being used earlier than four years, than  
23 three years? How many of them are being used in  
24 infants? Some of them are, and yet, I don't know of a

1 lot of publications, so I think that would be a very  
2 interesting thing for the TEP to bring forward to help  
3 you review if it ends up being gray literature and for  
4 us to hear about.

5 DR. CALONGE: Thanks, Jennifer. I think  
6 that ends our session on Phase II, and thank you, Alex.

7 (Applause)

8 DR. CALONGE: So, as I said before, during  
9 the last year we've done a significant of work in  
10 looking at our processes across the Committee's work.  
11 Back in May, Dr. Kemper provided a background on the  
12 current decision matrix pool. We had a good  
13 conversation at that time about updating the process and  
14 actually having it more closely match what we've been  
15 doing for the last several years and the last few  
16 conditions that we voted on recommendations for.

17 During the November meeting, we were in  
18 consensus with the proposed updates, which we can  
19 provide, they are on the website at this time, but we  
20 also recommended to convene a group of experts to  
21 discuss the Public Health Impact Assessment portion of  
22 the decision matrix tool. And today, I'm going to be  
23 sharing a proposal for the Impact Assessment that we've  
24 discussed with this group.



1           So, just as a reminder, the basic concept is  
2 the letter grade, which refers to the magnitude of net  
3 benefit and the certainty of net benefit is a separate  
4 consideration than Public Health impact Assessment.  
5 They are part of the same matrix, that is, the  
6 information from the assessment needs to inform and be  
7 considered by the Committee in making its  
8 recommendation. But we felt that building it in so that  
9 you were a B2 or a B1 wasn't quite in the spirit of how  
10 other evidence to decision frameworks work, which are  
11 almost always based on the evidence of benefit and harm  
12 and then the certainty around that evidence.

13           Yet, the assessment of public health impact  
14 is both a statutory requirement for the Committee and an  
15 important process for going forward in making decisions.

16           So, what I'd like to do is present some  
17 slides that I believe captured what we talked about in  
18 this Public Health Impact Assessment Group. Now, these  
19 are draft. They're not set in stone. They're more for  
20 discussion. Those who attended the meeting tell me  
21 whether or not I captured it right in drafting these,  
22 with Jeff and Leticia's help, and I look forward to the  
23 discussion.

24           The way we thought about doing this is in

1 two phases. And the first phase would be let's learn  
2 from those who've already done it because there's a rich  
3 knowledge base in actually running a pilot program. And  
4 in terms of what we need to ask or assess in the other  
5 states should be based on what we've learned from those  
6 pilot states.

7           So, this is a Phase I approach. There's a  
8 set of questions around what it would take for you to do  
9 this? And so, the questions start with core testing,  
10 which, for the sake of the discussion, I said this would  
11 include confirmatory testing as part of the process.  
12 So, we thought, well, what did it take? Did you need  
13 new equipment? For some of the conditions, just turning  
14 on a segment of the signal from tandem mass spec or  
15 adding a new algorithm is something you could build onto  
16 the equipment you now have.

17           If you already have sequencing equipment,  
18 then even adding a genomic confirmatory test may only  
19 take turning something on, but for other states there  
20 could be a cost of obtaining new equipment. And if that  
21 was required for the pilot test, what was the estimated  
22 cost, time to install and set up, and did you actually  
23 need to build out new space? So, I know these sound  
24 perhaps mundane, but they're critical parts of a newborn

1 screening laboratory thinking about how can I implement  
2 this and what is it going to take.

3 I look at these and my experience with the  
4 Colorado State Newborn Screening Program is we had to do  
5 all of these when we added a new condition. So,  
6 thinking about what did it take in that, and then did  
7 you need more staff? So, how many more staff did you  
8 need, was it like incremental staff, a part of a FTE or  
9 laboratory scientists or more?

10 And given that, how long did it take for you  
11 to hire that person and it's through whatever system  
12 your state laboratory needs to go through in order to  
13 add personnel, what was the time. And then, finally,  
14 was there different expertise you needed?

15 So, those of us who hire people -- I know  
16 there are lot of them in the room -- these are all  
17 things that you have to think about when you're adding  
18 new FTE, especially for a new process. So, another  
19 concept, okay, we're adding a new test. What from the  
20 personnel standpoint did I have to add? And then  
21 finally, there's some really important logistic issues  
22 that we've heard about in talking to newborn screening  
23 laboratories and programs in the state, like did this  
24 require new authorizing legislations?

1           We've heard there are a number of states  
2 that require adding the topic as soon as it's approved  
3 on the RUSP, but did you need new authorizing  
4 legislation, did you need new appropriations, funds  
5 and/or FTE? In Colorado, those are two separate  
6 decisions. I don't know why, but they're two separate  
7 decisions.

8           And then, if you did have to add these  
9 things, what was the time to acquire authorization  
10 and/or appropriation? So, we're trying to get a concept  
11 of cost and time.

12           So, then we moved onto questions around  
13 follow-up. Again, on diagnostic confirmation, what was  
14 the estimated cost and what was the estimated time to  
15 develop? In terms of first-year treatment, what was the  
16 estimated cost? -And again, now working with the health  
17 care delivery system and the experts who are providing  
18 the care, what did it cost to get this set up for the  
19 first year and how long did it take you to develop it?

20           Did you need new funding required for  
21 follow-up? And if yes, how much more and how long did  
22 it take for you to develop that funding?

23           So, the idea is now we have a picture of the  
24 impact on states that were successful in doing it, so in

1 essence, they've actually said it's feasible, right,  
2 because they've done it, and this is what it took to get  
3 there.

4 So, the second phase will be to reach out to  
5 states who are not pilot states, reach out to states who  
6 might be quick to implement, might take a longer period  
7 of time, might take a long time to implement so we have  
8 a good picture of the different stages of readiness for  
9 implementation.

10 Here the questions are different. Based on  
11 the pilot information, which we would summarize for the  
12 survey, if the condition is added to the RUSP could you  
13 implement testing within two years? That's a nice  
14 dichotomous answer. You notice we didn't draft "well  
15 maybe" or "it depends." We just could you. What  
16 resources or additional support would you need to do  
17 this, external support for startup from our friends at  
18 HRSA or potentially CDC? What about regionalization  
19 agreements or other things to make it possible for other  
20 resources?

21 And then, again, specifically, if we added  
22 this condition to the RUSP, are you planning to start  
23 working on implementation within the next two years,  
24 yes, or no? So, how this would come into the decision

1 matrix would be answers to these questions: What's the  
2 estimated time and cost to implement testing that we  
3 obtain from the pilot states, what proportion of  
4 respondent states can implement in two years, what  
5 proportion would start implementation in two years, and  
6 what proportion of states would require additional  
7 external support to implement, when the survey process  
8 would be begin after a nominated condition is accepted  
9 for review by the Nomination and Prioritization  
10 Workgroup?

11           So, we want to time it in such a way that  
12 the assessment wouldn't slow down implementation or let  
13 me say it differently. Wouldn't slow down the process  
14 to vote on recommending the condition to the RUSP. And  
15 then, again, the Phase II survey should include states  
16 that are likely to move quickly towards implementation  
17 and those for whom implementation will be challenging.

18           I would like to -- again, recognizing this  
19 is draft, that it's the first time that I think even the  
20 members of the Ad Hoc Working Group have seen it in this  
21 format. I'd like to open up the floor for discussion.  
22 And if it's okay, I'll sit back down for that. Does  
23 anybody, other than Scott, have the first question?  
24 Just kidding. Scott.

1 DR. SHONE: I was just looking at the  
2 Committee to make sure I didn't overstep the  
3 organizational rep. So, Scott Shone, ASTHO. So, first  
4 I want to make a comment that the follow-up slide also  
5 needs questions about staffing. So, you have staffing  
6 on lab, but particularly, depending upon how the test  
7 performs, what additional second, third tier tests are  
8 needed to be tracked and results our follow-up  
9 colleagues need to assure that there's staffing as well,  
10 so I would strongly suggest that that be considered in  
11 addition to just costs. There's actually a human power  
12 issue on this follow-up side.

13 But my question is where did two years come  
14 from? Is that based solely on the tidal wave of RUSP  
15 alignment legislation that's going along because the two  
16 years -- I think that this Committee has had several  
17 presentations. NewSTEPS has tracked implementation  
18 timelines for the last several years and I think there's  
19 a good level of quantitative data to show, in many  
20 instances, how long things are taking and why. And  
21 whether it's all the steps you talked about,  
22 legislation, fee increase, hiring, contracting, all  
23 those things that we've talked about at this Committee  
24 beyond just actually validating a test in a lab and

1 establishing a follow-up protocol and I think the data  
2 has routinely showed that it's longer than two, so I  
3 just wanted to know why two was chosen for this.

4 DR. CALONGE: It was chosen to have this  
5 discussion. There was another vote for three years and  
6 it was moved to two. I don't know what the right number  
7 should be, but I think what we do want to do is to share  
8 with the advocacy and family communities that we think  
9 moving forward quickly is important.

10 DR. SHONE: I'm not saying that I object to  
11 two years. I was saying North Carolina we have three  
12 years and there are tests that implement and can  
13 implement in a year or so and there's tests that do take  
14 longer for a variety of reasons, so I wasn't passing  
15 judgment on two years. I was just trying to understand  
16 why that was part of that.

17 DR. CALONGE: And I didn't hear it. I just  
18 told you we had to pick a time and that was the one we  
19 chose. Thanks. Other comments, Scott, before I move  
20 on?

21 DR. BROSCO: Can I ask a follow-up?

22 DR. CALONGE: Yes.

23 DR. BROSCO: And you're asking the newborn  
24 screening people here. It's always this feasibility



1 time thing tradeoff. The reason why we think it's  
2 whether it's two years or three years was the right one  
3 was so important is that if I say to you how long will  
4 it take to devise a novel vaccine based on mRNA  
5 technology for a virus we've never seen before and  
6 deliver it to hundreds of millions of people, you'd say  
7 forever, unless it took the entire nation's resources to  
8 do it and it was done in nine months or whatever.

9 So, part of it is how much resources would  
10 it take to do in two years versus a year versus three  
11 years. And so, my question for you is, is it really a  
12 tradeoff between resources? I mean, if you had enough  
13 resources, you could do it in two years, or is it, no  
14 matter how much you had it would still take two.

15 DR. SHONE: Obviously, as we've talked about  
16 and has been talked about even today that there are  
17 state-to-state differences in how this is answered.

18 I'm going to answer from North Carolina's  
19 perspective. A fee increase will take no less than nine  
20 months, right? So, that's automatic in terms of how  
21 long the process would take on that aspect alone, not to  
22 mention the month-to-month process to establish  
23 positions that can't start until you have fees, the  
24 contracting process, all of that. So, I think that what

1 I reacted to was one of the questions was what would it  
2 take to do it and I didn't know if it meant what would  
3 it take to do it in two years or what would it take to  
4 just get it implemented and I want timeline. Because in  
5 a sense where a procurement process takes nine months, I  
6 have no jurisdiction over that, and most public health  
7 labs and -followup- managers have no authority over how  
8 long a state procurement process takes.

9           And I agree, if this was purely left up to  
10 laboratories and follow-up program through the newborn  
11 screening programs that are comprised of laboratories  
12 and follow-up staff, that the process likely wouldn't  
13 take two years because everybody is committed to doing  
14 it as fast as possible. And I think that when you talk  
15 about that, that is the case, but I think that the  
16 problem is broader. And it's not just a newborn  
17 screening issue. It's a public health issue.

18           But if you have billions of dollars like we  
19 did for the vaccine to pour into adding new conditions,  
20 I think that the public health system would welcome that  
21 and expedite adding conditions for newborn screening.

22           DR. CALONGE: Fair enough. Thanks, Scott.  
23 Susan.

24           DR. TANKSLEY: Hi, Susan Tanksley,

1 Association of Public Health Laboratories. So, I'm not  
2 sure where all my questions are, but I'll start with an  
3 easy one. So, for Phase II, so this is after you have  
4 information from the pilot states, the survey states,  
5 then my assumption at that point was all the other  
6 states, but then in your closing slide it alluded to a  
7 smaller number, which includes states that are likely to  
8 move quickly towards implementation and those for whom  
9 implementation will be challenging. And so, I'm  
10 wondering what your thoughts are as to that number that  
11 you would look at in Phase II or is that all states?

12 DR. CALONGE: Great question. It's a  
13 conversation we had at the working group and the idea is  
14 that we won't necessarily have to do all states, but  
15 we'd need a representative sample and we thought we  
16 wanted to make sure to include states that say, yeah,  
17 bring it on. I mean there will be states who contract  
18 out all of their newborn screening, so as soon as the  
19 signal can be turned on at Perkin Elmer or Mayo or  
20 wherever the samples go, they could start doing it.

21 And then there will be states that have, I  
22 would say, more resource limitations than other states  
23 for whom adding almost any condition is going to be a  
24 challenge. And the idea is to make sure that we have a

1 representative sample of everything from one to the  
2 other. We could do all states and it would be a  
3 question back after the discussion to the working group.  
4 We just think it could be more efficient if we did  
5 representative sampling, but then we might miss somebody  
6 who's different from other states.

7 DR. TANKSLEY: Would there be a survey, or  
8 would that be based on history as far as how long it's  
9 taken to implement conditions? It's like do you base  
10 that on data or is there another method for that?

11 DR. CALONGE: No, I think we were thinking  
12 about like personal knowledge and experience. But on  
13 the other hand, it could be all states. It's just that  
14 we know that all states don't respond to the survey.  
15 And the other issue is that we want to make sure that  
16 when the survey went out that it did include states  
17 representative across the spectrum of readiness to  
18 implement.

19 DR. TANKSLEY: Okay, one more follow-up  
20 question. So, on the three questions that would be  
21 asked based on the pilot information. So, A, was if it  
22 was added to the RUSP, could you implement testing  
23 within two years, which you've already discussed. And  
24 the "C" one is if the condition is added to the RUSP,

1 are you planning to start working on implementation  
2 within the next two years. So, is that to like to  
3 measure a willingness or another step I the process or  
4 is that just like a process. It's like, okay, it will  
5 take you more than two years, how far can you get?

6 DR. CALONGE: You got it. So, the idea is,  
7 if we added this, would you start working on it right  
8 away, would you work on it after you've worked your way  
9 through other conditions that were added before this?  
10 So, kind of getting an idea of when the two years might  
11 start. And I'm trying to think of the best way to  
12 answer that. Again, remember these are draft, I  
13 appreciate that, and thinking about what's the  
14 information that would be most useful to the Committee  
15 to consider in terms of what's the public health impact  
16 of saying we're going to recommend this today.

17 DR. TANKSLEY: One comment and then I'll  
18 stop is that when you do ask these questions you do need  
19 to allow for additional comments.

20 DR. CALONGE: Yes.

21 DR. TANKSLEY: Thank you.

22 DR. CALONGE: In fact, it's the additional  
23 comments where all the really important information is,  
24 so I appreciate that. Debra, I'm going to come back. I

1 just saw a Committee Member. Shawn?

2 DR. MCANDLESS: Thanks. Shawn McCandless,  
3 Committee Member. So, how do you anticipate  
4 incorporating this information into the decision matrix?  
5 That's what's not clear to me.

6 DR. CALONGE: It's really just so simple.  
7 The answers to the questions will be on the matrix  
8 slide, so they will be yours to consider or ours to  
9 consider as we think about the vote. So, they're not  
10 going to fit into making gradations among the As and Bs,  
11 but what they will do is provide information for the  
12 Committee to think about as they contemplate their vote  
13 to add or not add. Okay, first I have Michele and then  
14 Carla.

15 DR. CAGGANA: Hi, Michele Caggana, Committee  
16 Member. I think one of the other things behind "C" on  
17 that slide about the implementation, also can help  
18 separate the states that do not have the RUSP alignment  
19 legislation, per se, so it gives for states that don't  
20 have that extra-legal pressure to implement within 18  
21 months, two years, three years. We can also get some  
22 information on those, outside of that.

23 DR. CALONGE: Great comment. Thank you.  
24 Carla.

1 DR. CUTHBERT: Carla Cuthbert, CDC. So,  
2 this is a follow-up to what Shawn was just saying about  
3 how this is going to be used. So, if the state can't  
4 bring this up in two years, maybe they can bring it up  
5 in five and, generally, the consensus is that many of  
6 the states maybe can bring this up in between three to  
7 seven years. It seems to me that this is just to temper  
8 our expectation as to when this can actually be done,  
9 but if it has a strong benefit and all of those other  
10 things, we're likely not to say no to it. It's just  
11 that it's not going to happen right away. It'll just  
12 take a lot more time, right?

13 DR. CALONGE: Jeff.

14 DR. BROSCO: Jeff Brosco, HRSA. So, this  
15 is, again, a question for the state lab folks. I think,  
16 Carla, that's a really good point and so part of it  
17 maybe I'm a state health department and this was just  
18 put on the RUSP, but, man, this is going to take five  
19 years on average. Is that helpful to state labs and  
20 departments of health to be able to say, yes, we're  
21 going to added to RUSP, but look, this is going to take  
22 a while, recognize that, but it doesn't stop us, as a  
23 Committee, from voting for something on the RUSP because  
24 of what you said, it's highly valuable. But it does

1 temper expectations and make it easier at the state  
2 level, but maybe that's a hypothesis, not knowledge. I  
3 don't know what you guys think.

4 DR. CALONGE: Well, I think the other thing,  
5 Carla, was we actually hope that we could have  
6 information on what it would take, so the cost and time  
7 implementation, the FTE, the space, the relationships  
8 with clinical providers to do diagnostic and follow-up  
9 care. I think thinking about the impact on the entire  
10 system is useful for the Committee and then hopefully  
11 useful to our federal partners in thinking about might  
12 they have resources to bring to bear, especially for a  
13 condition that the Committee feels overwhelmingly  
14 positive about the impact of implementing this. So, I'm  
15 not asking you for money yet, but we will be. Debra.

16 DR. FREEDENBERG: Thank you. So, I just  
17 wanted to clarify and comment a little bit about the  
18 inclusion of the clinic centers' input into this. I  
19 think that traditionally when we survey clinical centers  
20 input that's the most difficult information to receive  
21 because (A) either they're not invested or (B) they  
22 don't have the answers and that they don't have the  
23 time.

24 And I know that as new conditions have come



1 on, we've sent them out to the clinical centers treating  
2 that particular type of conditions and even with a lot  
3 of, let's say browbeating to get results back, that's  
4 always been very difficult, so I think we need to think  
5 a little broader about strengthening those relationships  
6 as well as what it is that you're actually asking the  
7 clinical centers to be doing, what their role would be,  
8 whether it would just be treatment or confirmatory or  
9 whatever it is, I think we just need to think pretty  
10 discretely about that.

11 DR. CALONGE: I appreciate that. I wonder  
12 if you have any suggestions to strengthen relationships.  
13 So, what I do in Colorado is I call Shawn up and I say  
14 who do I need to talk to over there at Children's and he  
15 always comes up with a name. Almost all of them will  
16 talk to me, but that's Colorado and I just don't have a  
17 sense for, are there other strategies that we should be  
18 pursuing and thinking about completion of the  
19 assessment, so that it's reflective of the challenges of  
20 putting this together.

21 DR. FREEDENBERG: I think you put your  
22 finger on this because it's really the personal  
23 relationships that really make the difference in getting  
24 those responses back and that you call people, you've

1 known them, you've worked with them, and you try and get  
2 those responses back. But even with that, it's like  
3 pulling teeth to try and get responses back. And then  
4 sometimes you get responses back and you get two back  
5 and one says (A) and the other is diametrically opposed  
6 and says (B) and then what's your recommendation at that  
7 point? You've just neutralized everything.

8 DR. CALONGE: Especially when they're from  
9 the same institution.

10 DR. FREEDENBERG: Exactly.

11 DR. CALONGE: Yes. Well, those are good  
12 comments. I think thinking about how to do the  
13 assessment and the strategies for collecting information  
14 is a really good point. I'm sorry, Jelili, I don't know  
15 how the survey is done now, or Susan or Scott, but I do  
16 know that there's a strategy of scheduling an interview.  
17 It's easier to answer the phone than it is to find the  
18 time to fill out a form that's not talking to you. So,  
19 just thinking about other strategies to complete the  
20 information is something we'll look at.

21 Now, I've got questions online, so I'm going  
22 to start with Ash.

23 DR. LAL: Ash Lal, Committee Member. And I  
24 just wanted to, if we go back to what Shawn had

1 mentioned a few minutes back, I think we were moved to  
2 separate out the feasibility of public health site cost,  
3 the newborn screening, new conditions from the net  
4 benefit and the reason for that, and I think it might be  
5 in your next presentation too, is that how should the  
6 Committee view information when the decision is  
7 primarily based on net benefit on the feasibility of  
8 implementation.

9           If that information is provided at the time  
10 that you vote a new condition, would we have to set up  
11 some kind of guidelines on how the information should  
12 actually be used and how you think it potentially would  
13 impact the vote.

14           DR. CALONGE: I think it is something the  
15 Committee could consider. And again, getting to the  
16 condition where the Committee feels strongly that it  
17 should be voted to be added to the RUSP, but there are  
18 some public health impact challenges that thinking about  
19 what the Committee can do in working with federal  
20 partners or others to say we understand that and we want  
21 to figure out ways to ease implementation in the states  
22 over time.

23           And the Committee could do what I think we  
24 really want to do, which is expand our purview over more

1 than just voting on conditions under the RUSP, but  
2 thinking about how we can best support the  
3 implementation of screening for all the conditions  
4 across all the states. So, I think it's a consideration  
5 we can take in that will provide us information. I hope  
6 it's not information that we feel we don't have any  
7 levers to impact. I would hope it would be something  
8 different than that.

9 I think the issue of having the answer come  
10 back we can do it in three years for every condition, we  
11 need something more informative, some information  
12 collected in a way that we might be able to move the  
13 process forward in a different way, and maybe it's  
14 helping states realize -- and I know they do this  
15 already. Regionalization might be the answer, so I've  
16 asked my state lab if we do this condition and if it's  
17 going to add this additional test. Do you do that now  
18 or could you do that now? And they say, no, we'd  
19 probably send it to somebody else, figure out the cost  
20 for implementing it, and see if that's feasible and then  
21 do that over time. So, that's one approach.

22 I think we could come up with -- the  
23 laboratory groups are talking about these are the  
24 challenges or the roadmap to implementing a new

1 condition in your newborn screening system and this  
2 information could help inform that as well, and it would  
3 just provide more specificity of information than I  
4 think we're currently doing now with the assessment  
5 process. Does that help? Melissa.

6 DR. PARISI: Melissa Parisi, NIH. So, I  
7 just had a couple quick comments. One of which was  
8 maybe you covered this, and I missed it, but why not ask  
9 each of the states if they have RUSP alignment  
10 legislation and, if so, what is the typical timeframe  
11 for adding conditions. It seems like rather than trying  
12 to discern that information in a non-discrete way, just  
13 ask the question.

14 But even more importantly, I mean the  
15 two-year cutoff, which to me seemed rather arbitrary,  
16 another way to potential get some of this data might be  
17 to ask states for the last three conditions that your  
18 state has added to the RUSP how long has it taken from  
19 the time they were approved to the time that you were  
20 able to add them on. I mean just another data point. I  
21 don't know if that would be helpful or not, but just  
22 another thought rather than this kind of arbitrary  
23 two-year cutoff.

24 DR. CALONGE: Yes, I appreciate that. I

1 think there are ways to ask the questions. We just came  
2 up with one for this discussion, so I appreciate that.  
3 Cindy Powell.

4 DR. POWELL: Cindy Powell, American College  
5 of Medical Genetics and Genomics, Org Rep. I applaud  
6 the Committee in trying to tackle this part of the  
7 decision process. One thing I wanted to bring up is  
8 regarding confirmatory testing. I think that one thing  
9 to keep in mind, that in a pilot study confirmatory  
10 testing may be included as part of the pilot. And if  
11 that may involve some sequencing of the gene or genes  
12 potentially involved and after this is put into actual  
13 practice, it may be not part of the actual newborn  
14 screening, not be done by a public health laboratory,  
15 but may be part of that follow-up and in which case  
16 coverage by Medicaid or insurers.

17 Often infants, even if they ultimately  
18 qualify for Medicaid, it might not be in place yet,  
19 which speaking from experience, can add a whole other  
20 level of complexity to being able to appropriately  
21 confirm newborn screening results. So, just something  
22 to keep in mind.

23 DR. CALONGE: Yes, that was an excellent  
24 point, Cindy. I think in this first pass, this first

1 draft we were trying to do more lumping than splitting.  
2 And I know there's a lot of things that we've lumped  
3 across that will vary, depending on the pilot state and  
4 how the pilot was implement versus how it would play out  
5 in actual state laboratories across the  
6 country -- sorry, state laboratories and newborn  
7 screening systems. Sue?

8 DR. BERRY: Sue Berry, SIMD. I think the  
9 other dichotomy that I thought would come up, but  
10 didn't, is that there are a subset of states where you  
11 can't implement anything without active legislative  
12 action on the part of the state and those states are  
13 going to be in a different subset than the people who  
14 have legislation with RUSP aligns or don't have  
15 legislation or work by rules.

16 If you have to do it through legislation, it  
17 takes a long time. That's why it takes so long because  
18 the last states were legislatively required to move  
19 forward. And I don't know how easily that can be  
20 captured, but it's going to slow that subset of states  
21 down more significantly.

22 DR. CALONGE: I really appreciate that.  
23 We're at time, but let me just ask one last -- sorry,  
24 Michele. I see you. Go ahead.

1 DR. CAGGANA: I'll just be quick. I know  
2 that we talked a lot about costs, and I think  
3 maybe -- you were talking about splitting, it might be  
4 really good to have the program costs versus the system  
5 costs, right, because the confirmatory testing, the  
6 treatment and all of that is downstream. And then I  
7 think states can actually use that information within  
8 their own system to be able to lobby, whatever is needed  
9 to get funding for those too, so it serves two purposes.

10 DR. CALONGE: Thanks. I appreciate that.  
11 Are there big considerations or questions that you  
12 didn't see? Legislation was one of them. Melissa's  
13 right. We know which states have alignment legislation,  
14 so I don't think it would be too much to say what's the  
15 timeframe for every state that has alignment issues.  
16 Although, I would be interested to know, even in  
17 alignment states, whether or not understanding the costs  
18 and requirements would be useful to state laboratories  
19 in terms of taking on implementation. Debra.

20 DR. FREEDENBERG: I was just going to point  
21 out that in those considerations of adding on there may  
22 be even states with alignment. There may be variables  
23 which I think may have been addressed in terms of what  
24 it would take in terms of equipment, or do you need a



1 whole new system set up versus can you just add that on.  
2 So, a state may choose to add something that was added  
3 onto the RUSP later, do that first because it's easier,  
4 technically, and for all of those kinds of  
5 considerations than something that requires lots of new  
6 processes in place.

7 DR. CALONGE: I appreciate that. I know  
8 that's true. Susan.

9 DR. TANKSLEY: I just wanted to comment that  
10 NewSTEPS already collects a lot of that data as far as  
11 the legislative piece and the rules and whether they're  
12 under RUSP alignment, so I think that that's a resource  
13 that could be used where it wouldn't have to be asked.  
14 But we'd have to have a mechanism to make sure all that  
15 information is updated.

16 DR. CALONGE: I appreciate that too. I knew  
17 it was out there. All right, you've worked so hard  
18 you've earned a 10-minute break. We're going to take 10  
19 minutes and we'll come back and talk about  
20 considerations for the decision matrix and weighing  
21 benefits norms.

22  
23 **ACHDNC Decision Matrix Tool: Public Health Assessment &**  
24 **ACHDNC Nomination Process Update**

1  
2 DR. CALONGE: Thanks for coming back. We  
3 have one additional discussion that's actually split  
4 into two pieces. The first part is a proposed revision  
5 to the nomination package, kind of a redesign. This  
6 also was based on a separate working group meeting and  
7 thinking from a lot of good people, including Jeff  
8 Brosco and others. So, I'd like to present what we came  
9 up with.

10 Now, the comments that we heard last year  
11 were things I talked about earlier about it's  
12 burdensome, it's difficult, it's unclear, there are  
13 words used without a glossary or definition, and there  
14 are some things that seem that aren't part within the  
15 normal workflow of the advocacy organization, certainly  
16 not family. So, we tried to understand and listen  
17 around the challenges that nominators experienced. We  
18 got feedback, valuable from our advocacy, and we talked  
19 specifically to those who are currently putting new  
20 packages together or packages that are currently under  
21 consideration, including cCMV, DMD, Krabbe, MLD, and  
22 Biliary Atresia.

23 So again, these are slides of a draft and  
24 for revision. So, we're going to go through this, and

1 we'll take Committee discussion afterwards and then  
2 we're going to do audience participation and actually  
3 try to gather information and comments around what we  
4 should be thinking about when we talk about evidence and  
5 weighing evidence of benefits and harms.

6           So, those are the two things that we'll end  
7 the day with. And let me start with this presentation on  
8 proposed draft changes to the nomination package.

9 Here's our current challenges: burdens on nominators,  
10 weeks and months of work go into maybe a condition  
11 that's not ready for evidence review. I talked about  
12 unclear terminology. There's no area on the nomination  
13 form to share additional information. And the  
14 workgroup, in the Nomination and Prioritization  
15 Workgroup, oftentimes doesn't have sufficient  
16 information to recommend the package to full  
17 evidence-based review.

18           So, here again, we're thinking about a  
19 two-step process and just trying to think about the  
20 first step as a screening process, something that is  
21 less complex, more straightforward, and can start the  
22 dialogue between HRSA staff and the Chair and Committee  
23 Members on what's necessary for nomination.

24           So, here are four questions for the

1 preliminary nomination: Is there a screening test  
2 available for use at a population level in the newborn  
3 period? Well, let me pause. If there's terms in there  
4 that need clarification like, what do you mean  
5 "available use at the population level"? So again, when  
6 we use a term of art or something that might not be as  
7 straightforward to everyone as we think it is to us,  
8 we'll make sure that we are very specific about what  
9 that means.

10 Is there an agreed upon way for a clinical  
11 specialist to confirm the diagnosis after a positive  
12 screen? And again, as we heard about, in a pilot  
13 program confirmatory testing may still be done at the  
14 laboratory itself or it may require a clinician outside  
15 of the newborn laboratory to do that confirmation.  
16 Regardless, is there a way to go from screening to  
17 diagnosis because they're not the same. We don't call  
18 them screening tests because they always tell you the  
19 disease. The screening test is there to tell you there  
20 could be conditions. That's where false positives and  
21 false negatives come into bear, so what do we have to do  
22 to confirm it? Is there an agreed upon way to do that?  
23 So, these first issues are talking about clarity around  
24 whether there's a test and a confirmation approach.

1           The next, is there a prospective population  
2 based newborn screening project that has identified at  
3 least one infant with the condition? And you'll  
4 recognize that from the previous nomination package and  
5 it is carried over into this preliminary nomination.

6           Then number four, does early identification  
7 newborn screening lead to better health outcomes  
8 compared to usual clinical identification? If there is  
9 not information about health outcomes from newborn  
10 screening, does early detection based on family history,  
11 such as resulting from having an older sibling with the  
12 condition lead to better health outcomes compared to  
13 usual clinical identification?

14           And I'll just pause around number four. It  
15 has in its history the Wilson-Young criteria for any  
16 screening test. So, the reason you screen is to say that  
17 I have an intervention that if it's applied in the  
18 otherwise asymptomatic period, that that's better in  
19 terms of health outcomes than if I wait until you have  
20 symptoms.

21           So, we talked about that a lot with DMD just  
22 in the last sessions today, but it's a key factor that  
23 there needs to be an answer to in thinking about moving  
24 a condition forward for nominations. If yes is there

1 for all questions, the nominators would then submit  
2 between one and three peer-reviewed publications for  
3 each question to the HRSA website. HRSA staff would  
4 meet with the nominators to gather information and  
5 present information to the Chair and selected Committee  
6 Members.

7           After hearing information and reviewing the  
8 publications, the Chair and Committee Members would  
9 provide feedback to the nominators on the readiness for  
10 Step Two. And again, there's a glossary of terms to  
11 help nominators, as I said, like what does "population  
12 level" mean. So, this is a way, is a prescreen of are  
13 you ready, are you getting ready, should you put the  
14 time into a full nomination package? And you get that  
15 feedback early on, hopefully, when the amount of effort  
16 taken to answer the four questions is still achievable  
17 and doable and not the same complexity as a full  
18 nomination.

19           If the answer is yes, move ahead. This  
20 looks promising. We are anxious to learn more. The  
21 complete nomination package with these sections that  
22 will go over the condition, newborn screening, net  
23 benefit of newborn screening, other considerations,  
24 references, glossary of terms, and provincial benefits

1 and harms of newborn screening.

2           The idea is to answer the questions as  
3 clearly and succinctly as possible. We don't expect  
4 nominations to be able to provide comprehensive answers  
5 to all the questions, particularly those regarding  
6 potential harms and public health impact. We had a lot  
7 of discussion about whether or not we should ask  
8 nominators about potential harms, and we decided that  
9 while the way we think about potential harms may be  
10 different than that from the advocacy community.

11           Assuring that you think a little bit that  
12 the nominators take the opportunity to think about  
13 potential harms, we think, would help the overall  
14 nomination package and public health impact as well.  
15 The Advisory Committee will use that information to  
16 decide whether there is enough peer-reviewed evidence of  
17 net benefit to go to a full evidence review.

18           For each key point you make, please identify  
19 the one or most relevant peer-reviewed references.  
20 Again, there's a glossary of terms for this, Step Two in  
21 Section Six. And then we encourage nominators to keep  
22 in touch with HRSA staff as they complete the second  
23 stage as you'll likely have questions about how to  
24 answer some of the questions.

1           When we talk about Section One, the  
2 condition, what is the specific condition to be screened  
3 for, the target condition, and how is it defined after  
4 screening. I realize that this sounds simple and maybe  
5 it is simpler in the newborn screening world, but not in  
6 my experience. There are conditions that have titles  
7 that there is variation in the condition under the  
8 title, so a great example is Krabbe Disease.

9           I think we also look at conditions that  
10 might also be picked up by the same tests as we see in  
11 Duchenne Muscular Dystrophy. One of the most key points  
12 that a lot of people, even in the non-newborn screening  
13 world, but in the preventive services world gloss over,  
14 is do you have a precise targeted definition of the  
15 condition you're wishing to screen for? So, that's what  
16 this first issue is.

17           How is the condition typically diagnosed now  
18 without newborn screening? So, if we didn't have a  
19 screening test, how do I say it, the more natural  
20 history of the condition in terms of when it's  
21 diagnosed. How common is the condition? That is what  
22 is the birth prevalence in the United States or some  
23 comparable population? And is it more common in certain  
24 groups in the United States, which could lead us into



1 questions to explore around equity.

2           And then again, to natural history, what is  
3 the typical progression of the condition when diagnosed  
4 without newborn screening? Here's just an aside. I  
5 said natural history, so the natural history would be  
6 what would happen if you did no treatment. So, once we  
7 diagnose things, we tend to start trying to treat them  
8 and so this is really referring to the modified natural  
9 history of disease following diagnosis.

10           In the next section on newborn screening,  
11 what approach is recommended? Please be specific  
12 regarding the type of sample and screening algorithm  
13 leading to diagnostic referral. So, things that are  
14 screened to with the filter paper blood spot, although  
15 challenges may be coming over time as the number of  
16 spots may be inadequate for all the conditions, we're  
17 concerned about it's only one route for diagnosis and so  
18 there have been some conditions where urine has been  
19 suspected.

20           I don't know how many of you know, but the  
21 first newborn screening test was for PKU, and it was by  
22 taking infant diapers and doing a chemical reaction on  
23 them to see whether or not the children were peeing out  
24 phenylketones. So, there may be other media that you

1 would look at. Congenital cyanotic heart disease has no  
2 sample. It has a test. It provided specific challenges  
3 to this Committee and to the implementation world  
4 because it's a point-of-service test that state labs  
5 don't do. They don't go into hospitals and put pulse-ox  
6 machines on infant fingers, so thinking about how do you  
7 collect it and what's the screening algorithm that leads  
8 to diagnostic referral.

9           Once there is a positive screen, how is the  
10 condition diagnosed? Specifically, what are the steps a  
11 clinician specialist would need to take to establish the  
12 condition? So, this kind of the first place where there  
13 might be harms associated with screening, so if the  
14 route from screening to diagnosis is invasive, like  
15 requires a muscle biopsy, that was just one of the first  
16 ones that came to mind, then thinking about the impact  
17 of false positives that you then have to resolve through  
18 additional testing becomes an important potential harm.

19           So, what are the steps to establish the  
20 condition? Are there other conditions that would be  
21 identified through the same screening as nominated, that  
22 includes phenotypes of the target conditions that are  
23 not being nominated for newborn screening like late  
24 onset or mild variants, and will screening for the

1 target condition identify carriers? So, all questions  
2 helping to have a sense for the Committee to think about  
3 the specificity of the screening tests in leading to the  
4 target condition diagnosis.

5           And then what are the approach and outcomes  
6 from population level screening for the condition? The  
7 outcomes of interests include how much there is, that is  
8 estimation of the birth prevalence, the frequency of  
9 identification of other phenotypes for a condition,  
10 screening tests characteristics, including sensitivity  
11 specificity and positive and negative predictive values.

12           Then in Section Three are the net benefits  
13 of newborn screening. What's the expected benefit to  
14 infants and families for the detection of the conditions  
15 through newborn screening compared to the usual clinical  
16 identification? This seems straightforward, but there  
17 is an important addition that really wasn't in the  
18 previous nomination package. It's the inclusion of the  
19 phrase "and families."

20           We spent a lot of time talking about that  
21 today and the concept is that benefits to families  
22 should and could be addressed with the same research  
23 rigor as other benefits. The data could be different.  
24 It could be qualitative instead of quantitative, it

1 might be a little bit of both, and we are interested in  
2 bringing in those additional benefits to Committee  
3 deliberations going forward.

4           Are there other benefits or harms that might  
5 result from implementing a state newborn screening  
6 program for the targeted condition? Do infants identify  
7 with other conditions or opportunity costs to a state  
8 public health system? What treatment and management  
9 protocols are available for newborns identified with the  
10 condition through newborn screening and is there a plan  
11 for longitudinal follow-up of newborns identified  
12 through screening? Will there be a patient registry?  
13 For how many years would infants with the condition be  
14 followed?

15           Section Four is other considerations, just  
16 other things that the nominators want the Advisory  
17 Committee to know and references, a glossary of terms,  
18 and then this draft section of potential benefits and  
19 harms of newborn screening drafted in a table designed  
20 to help nominators consider the full range of benefits  
21 and harms that might occur with the screening program.

22           This is just a slide on sample ELSI research  
23 questions, and it talked about what are the potential  
24 ethical, legal considerations for new conditions,

1 sources for families, clinicians, administrative  
2 databased, and then examples of questions. Do  
3 caregivers treat an infant differently when  
4 presymptomatic diagnosis is made? These are from our  
5 friend in the audience - Dr. Goldenberg, thank you very  
6 much and it's just a way of giving you some guidance or  
7 some thoughts about what questions you might add.

8  
9 **Committee Discussion**  
10

11 DR. CALONGE: So, this particular part of  
12 the discussion will be for the Committee Members and  
13 organizational representatives. And with that, I'll  
14 throw it open for questions and sit down, again  
15 recognizing this is draft. It's not been set in stone,  
16 but it is based off of a lot of the comments we heard  
17 and our current approach, so that's where we're starting  
18 from. Debra.

19 DR. FREEDENBERG: I was just going to expand  
20 a little bit on the benefits and harms to families. In  
21 terms of benefits to families, although I absolutely  
22 think that should be included in this important  
23 component, when you get to the operational part of it of  
24 states, when states actually think about things, they

1 say our enabling legislation is for newborn only and we  
2 don't really care what happens to the rest of the family  
3 because that's not within our purview. So, I just think  
4 that needs to be something we're aware of.

5 DR. CALONGE: I appreciate that observation.  
6 I don't appreciate it, but I understand it. That would  
7 be better. Molly.

8 DR. MINEAR: Can you provide a little bit  
9 more context about the collection of long-term follow-up  
10 data in terms of who would have that responsibility over  
11 time? Are you envisioning that to be the states?

12 DR. CALONGE: At this point, I don't  
13 envision anything, whether we could figure out a way to  
14 separately fund a patient registry across states or in  
15 some other setting, like CDC or HRSA, those would be  
16 options. It could be that the state has resources to  
17 think about -- a pilot state might have resources to  
18 think about it. It may be that in every nomination  
19 package it says, yes, this would be good, but we don't  
20 know how to do it. And I think we have to start  
21 thinking about that if we want to measure the impact of  
22 newborn screening on the health of the population from a  
23 public health standpoint over time. That's a great  
24 question with what I wish was a better answer. Shawn.

1 DR. MCCANDLESS: I'm just thinking about  
2 going back to the addition of the question about the  
3 family, impact on the family and I think it's very  
4 complicated and I want to make sure that we don't lose  
5 sight of the underlying principle of newborn screening.  
6 That it's intended to improve the health outcomes of the  
7 infants involved and I think that, as we heard this  
8 morning, the types of data that we will have access to  
9 around family outcomes are qualitatively quite different  
10 than the types of information we typically ask about  
11 health outcome from the infant and I just think that  
12 it's -- I don't know what I actually think about this.  
13 I'm still trying to process the concept.

14 I recognize that in comments I've made in  
15 the past I have specifically commented about family  
16 impacts as it relates to harms and at the same time  
17 downplayed family impacts as it relates to benefits, and  
18 I realize that there is a logical disconnect there that  
19 I have to wrap my own brain around before I can move  
20 forward with my own thinking. But I do just need to  
21 step back and say that I think that I have a real  
22 concern of a situation arising where there could be  
23 little or no personal health care benefit to the infant  
24 involved, but where the argument is that the benefit

1 accrues to the family driving addition of a condition to  
2 newborn screening that I think we should be really,  
3 really careful about untended consequences of changes  
4 that we make in that regard.

5 DR. CALONGE: And I appreciate that, Shawn,  
6 and it was one of the ways I was trying to push a little  
7 bit this morning about Don around the value statement.  
8 And admittedly, I came down to an economic value, but I  
9 meant something broader than that. How do you weigh  
10 these different benefits and the different harms in  
11 terms of thinking about the individual impact to the  
12 infant, so I think it is an area of complexity and I  
13 think the Committee needs to wrestle with that because I  
14 think there are both benefits and harms to families in  
15 terms of testing the newborns.

16 I'd use an example that I often use. The  
17 U.S. Preventive Services Taskforce gave lead screening  
18 in children an "I," insufficient evidence. The reason  
19 it gets an "I" is because there's nothing you could do  
20 the child you just tested for low levels of lead, other  
21 than say don't live there anymore. There's no  
22 treatment. You don't chelate. You don't provide  
23 therapy. You don't do cultural. You just say your  
24 child's been exposed. However, there's huge benefit to



1 the next child who can be removed from the environment  
2 prior to poisoning and so the USPSTF'S methodology has  
3 no way of accounting for anyone than the patient right  
4 in front of you.

5           And I think this is an area, personally.  
6 So, my opinion shouldn't drive the day, but I think from  
7 a newborn screening standpoint thinking about additional  
8 benefits, as well as additional harms, and letting those  
9 inform our decision-making could be a really important  
10 move forward. And it's how we do it that will be  
11 difficult.

12           DR. MCCANDLESS: To follow up, I don't know  
13 what the right answer is, but I think we need to make  
14 sure that we are thoughtful about how we prioritize the  
15 different types of data and the different benefits and  
16 harms and I think we need to continue or maybe need to  
17 have more discussion about what is the nature of a  
18 compulsory population-based newborn screening program  
19 and now is that different from other types of screening  
20 that we do and how does that impact the way that we  
21 think about the evidence-base for it. I mean it's a  
22 good point because there is nothing in the USPSTF that's  
23 compulsory. There's always a choice. Jennifer.

24           DR. KWON: Thanks. Jennifer Kwon, Committee

1 Member. So, I attended a listening group that had  
2 various individuals who had participated in the  
3 nomination package process and I will admit that I've  
4 never participated in the process, but I could tell of  
5 the people who did who are obviously very well informed  
6 about the disorder they're nominating, they felt that a  
7 lot of the culture of newborn screening and the language  
8 they saw in the package was different. And I got the  
9 sense that HRSA feels that they really support these  
10 nominators through the process, but I was also getting  
11 the feeling that maybe the nominators didn't quite feel  
12 the same way, like they felt lost.

13 So, one of the things that I was wondering  
14 is not so much the wording of the form, but it seems  
15 like there is a role for somebody, either at HRSA or  
16 someone who is -- I was even thinking maybe of like  
17 people who've been involved with the Committee work, but  
18 who maybe no longer active in it to maybe help  
19 nominators understand the background. I think it gets  
20 to what Shawn had brought up. I just think that  
21 sometimes people they feel that it's so obvious why they  
22 should've known about this disorder when their child was  
23 born, like so much of their life and their child's life  
24 would've been so different had they known, so obviously

1 it should be on newborn screening.

2           And they learn some basic things about  
3 newborn screening, and they realize this may be a harder  
4 hurdle than I thought and so I was just wondering a  
5 little more about the background of the process that I  
6 just don't know very much about what HRSA does when  
7 they're speaking with nominators and how long it  
8 generally takes to get them through the process.

9           DR. CALONGE: Jeff.

10          DR. BROSCO: If I may say a word?

11          DR. CALONGE: If it would be to the pleasure  
12 of the Committee, that'd be great.

13          DR. BROSCO: Just simply that in this  
14 process, Jennifer, so folks from CCMV, from DMD, from  
15 MLD, and from Biliary Atresia, the last four nominating  
16 groups that have gone through the form, we met with them  
17 and said what are all the biggest issues you've had?  
18 What are the problems going forward?

19               Yes, HRSA, we're supposed to be helping you  
20 through this process. It's clearly not going as well.  
21 WE heard from them it takes a huge amount of time and  
22 energy and just emotional to get through this huge  
23 thing, only to find out that maybe we weren't ready or  
24 something. So, in the two-step process, we really tried

1 to figure out how we can both meet the needs of  
2 nominators, but also not slow the process down so much.  
3 So, this is an attempt to do that is to meet the needs  
4 of nominators so we can quickly get to what are the key  
5 things and so the idea of those initial four questions  
6 is we gather the information, we present it to the  
7 Committee, which is usually the Chair and a couple  
8 Members and then there's some right away back and forth.

9           So, there's a very low initial bar for  
10 nominators to get a sense of, yes, we're ready. Let's  
11 go for it or, no, we really need to have a treatment.  
12 We need a better test, whatever that is. So, that was  
13 the idea because you're right. That's exactly what we  
14 heard too is that nominators we're there to help, but it  
15 hasn't been sufficient.

16           DR. CALONGE: Ash.

17           DR. LAL: I was just looking at the other  
18 sections, so Section Seven has the table Potential  
19 Benefits and Harms. I can definitely see the utility, I  
20 think, if the nominators upfront address some of the  
21 questions regarding harm in addition to the advocacy for  
22 including the condition. That would certainly move the  
23 process along. But my question is, is this table  
24 something that will be included from published

1 literature or is this something that is currently being  
2 developed and if it could be shared for comments.

3 DR. CALONGE: No, everything here is to be  
4 shared for comments. I'm sorry, Jeff, did you have  
5 another comment?

6 DR. BROSCO: Sorry. Jeff Brosco again. So,  
7 just that table that comes from a publication that Aaron  
8 was the chief and it's there as an appendix kind of  
9 thing. If nominators wanted to look at the kinds of  
10 issues that might be relevant, they could use that as a  
11 tool, but it's not meant to be comprehensive.

12 And just to add one other thing, we also  
13 learned in talking to the nominators that we couldn't  
14 predict ahead of time all the kinds of questions that  
15 they would have and so that's why this having plenty of  
16 room for dialogue early on and saying you don't have to  
17 put in anything about public health impacts or harms,  
18 but if you know something about it, you can. If you're  
19 planning a patient registry, please tell us. But if  
20 you're not, that's okay to say no. So, it really was  
21 meant to create a dialogue.

22 DR. CALONGE: Thanks. Jannine.

23 DR. CODY: I guess my question really is for  
24 Jeff and his comment that he just made. Is there some

1 sort of instruction booklet or something that groups can  
2 know that when they see this daunting list of questions  
3 that you don't have to have a publication that addresses  
4 that, but if you know of one, tell us, the ones that are  
5 optional versus the ones that are not?

6           And I could well imagine that groups get  
7 very focused on the medical, the treatment, the  
8 diagnosis part and could get to this point of thinking  
9 they're ready for a nomination package and realizing  
10 there are questions in here we could've been working to  
11 address those. We just didn't know we were going to get  
12 asked that and they could have facilitated the research  
13 around that question, especially the family questions  
14 and the sibling questions and the registry questions.

15           And so, I don't know what is available or if  
16 there should be more available for really advanced,  
17 maybe the groups that are five years out to know what it  
18 is that they're going to face and the kinds of questions  
19 they'll address before they even talk to a HRSA person.

20           DR. CALONGE: I mean I appreciate that. I do  
21 think a user's guide is something that would be  
22 relatively easy for us to put together. Again, the kind  
23 of Step One questions are meant to say, what should I be  
24 thinking about, in terms of answering these first core

1 four questions, which will help guide whether or not  
2 this condition is ready to be brought forward or what  
3 else is going to be needed. And it's answering those  
4 first four that I think HRSA, and the Chair say, yes, it  
5 looks like this is ready for more detail that you'd move  
6 onto Step Two with more detailed questions.

7           And again, I think there would be another  
8 set of guidance on how to fill those out. I like your  
9 idea of saying this one has to be answered and I think  
10 ought to think about that and these other ones are  
11 discretionary but could help HRSA think about the  
12 condition and would be useful for nomination and  
13 prioritization in assess the evidence and thinking about  
14 it's ready to move on for evidence review. All right, I  
15 have Natasha next.

16           MS. BONHOMME: Thanks. Natasha Bonhomme,  
17 Genetic Alliance. Tied to the part of the conversation  
18 with Jennifer and Jeff talking about the support for  
19 nominators, and just to acknowledge that there is a lot  
20 that happens to support those nominators outside of the  
21 HRSA framework, even though we know that that is what  
22 we're talking about here today. Those nominators are  
23 very well connected with each other. They study the  
24 nominations that have come before. I don't think there

1 is any group that just wakes up one day and says, you  
2 know what I'm going to do? I'm going to dedicate nine  
3 months to filling out this process, to filling out the  
4 nomination. So, I just really wanted to acknowledge  
5 that between also us having invited Committee Members to  
6 the boot camp that his cohosted between Every Life and  
7 Expecting Health, but there's a lot else that goes on  
8 and maybe there's some learning there too in terms of  
9 those conversations that have been supportive and what  
10 could be even more supportive for those nominators, so I  
11 just wanted to acknowledge all of that other work that  
12 goes on.

13                   And then my question was to -- and I know  
14 these are draft, but I was thinking to the Step One  
15 preliminary nomination, and this is just an example of,  
16 but where it says in Question Three. Is there a  
17 perspective population-based newborn screening project?  
18 Is that globally? I think, historically, we've always  
19 looked for the U.S., those types of details, are you  
20 thinking of adding in those details as this moves from  
21 draft to final or not? I just want to be clear where  
22 are the things that may be assumptions like, of course,  
23 it would be a state-based newborn screening program or  
24 maybe it is an assumption, maybe it is global.



1 DR. CALONGE: That's a level of specificity  
2 that will be added that hasn't been yet, so good  
3 question. Thanks. Robert.

4 DR. OSTRANDER: Robert Ostrander, American  
5 Academy of Family Physicians. I want to just go back to  
6 the way we think about the family benefit piece and  
7 Shawn's concerns. For the first 20 odd years that I was  
8 in practice, I did family-centered obstetrics and  
9 delivered babies and rarely did I consider the baby's  
10 benefits and harms separate from the mother's and the  
11 mother's benefits and harms separate from the baby's  
12 benefits and harms. And I don't think the moment of  
13 delivery completely breaks that link, so I think when  
14 we're thinking about newborns and how medical homes for  
15 kids with special health care needs it would be an  
16 unusual situation where there was a benefit to the  
17 family that I didn't think benefited the child, and not  
18 that one couldn't think of things.

19 And furthermore, I think if there were no  
20 benefit to the child for disease treatment, whether  
21 medically specific disease treatment or general  
22 treatments that modified the course of the disease, I  
23 can't imagine that the assessment of family benefit  
24 would be positive because I think the place that

1 everybody's seeing potential family harm is the false  
2 positive screen, the variant of unknown significance, or  
3 the diagnosis of it a disease for which there's  
4 treatment.

5           So, I think we have to be vigilant. I  
6 agree, Shawn. I think we have to be sure that there is  
7 a net benefit to the child, but again, I think it would  
8 be rare, in my mind, to see a net benefit to the family  
9 that didn't somehow also then confer benefit to the  
10 child.

11           DR. CALONGE: I think we're moving into what  
12 we would expect would be the last discussion of the day,  
13 which I think is a natural movement. I think we did get  
14 a lot of comments about what benefits and what harms  
15 should be considered and I think what we're hoping for  
16 the last discussion is just talking about when we're  
17 weighing certainty and net benefit what are the full  
18 range of relevant peer-reviewed evidence we should be  
19 looking at.

20           Most of the evidence we've looked at is in  
21 relationship to benefits and harms to the individual and  
22 those are still paramount, but the Committee should  
23 consider benefits and harms to the family and to  
24 society, at large, including looking at issues around

1 equity. Should the Committee consider evidence  
2 demonstrating benefits for the family regarding future  
3 planning in terms of finances, geographic proximity to  
4 services, home design, should there be earlier access to  
5 Early Intervention programs or are there opportunity  
6 costs to the public health system, and that comes back  
7 to the issues overall how is funding constructed for  
8 newborn screening in a state and it only varies 50 time,  
9 even in states with alignment regulations.

10 So, before we launch down this, let me make  
11 sure I go back to Margie and get her comment.

12 DR. REAM: Thanks. Margie Ream, Child  
13 Neurology Society. So, I had a question back to the  
14 nomination form. I think it was in your first of those  
15 two presentations where there was a line about other  
16 conditions that could be picked up or other phenotypes  
17 that could be picked up by the proposed screening.

18 So, the question, and a story. So, the  
19 question is how the Committee feels where the line would  
20 be drawn between something being a secondary condition,  
21 which would be considered beneficial to pick up, versus  
22 a false positive, which would be generally considered  
23 unwanted.

24 And so, the story I have to frame why I had

1 that question in my mind was taking, for example, XALD.  
2 I got a baby girl screened positive. She's diagnosed  
3 and then we can diagnose her brothers. That would be  
4 beneficial. But if a younger sister of a  
5 symptomatically diagnosed boy came and the family wanted  
6 the younger sister tested, I wouldn't offer that testing  
7 because that wouldn't be considered ethical. It  
8 wouldn't help that individual patient. And so, same  
9 condition, same diagnosis, but one diagnosis through  
10 mandatory testing is positive, where a clinically  
11 requested diagnosis would not be considered a positive.  
12 So, as a clinician, that's a tricky situation to be in.  
13 You have the same question of the baby girl is in  
14 neighboring rooms, basically.

15 So, back to my question for the Committee,  
16 what are some of the considerations you would use for  
17 when one of these other diagnosed conditions would be a  
18 secondary target versus a false positive?

19 DR. CALONGE: That's a great question and  
20 it's also partially a subject that's being looked at by  
21 a laboratory workgroup on secondary conditions and  
22 condition counting and a level of complexity that I hope  
23 we would be able to capture in the nomination package.

24 In the other areas like USPSTF or the CPSTF,

1 there are these things called "other benefits." And so,  
2 if I'm doing this one thing and I find something else  
3 that might also benefit from that or I'm doing a  
4 treatment that treats one thing, but there are  
5 additional benefits, how do you capture those and how  
6 does that drive decision-making?

7           So, I think making sure the nomination  
8 package has the ability to have that flexibility over  
9 other conditions that could be treated and helpful I  
10 think they could be answered, but it would kind of in  
11 that "other benefits" considerations, if that helps.  
12 And that's what we're talking about, a lot of, other  
13 benefits. I think one of the things that comes up in  
14 genetic testing, which that reminds me of, is evidence  
15 by analogy.

16           And so, are there other gene polymorphisms  
17 that look so much like the polymorphism for which you  
18 have evidence. Do you think it's reasonable to make a  
19 decision by analogy? And so, in this space would there  
20 be conditions that aren't the condition under review,  
21 but that we could consider other disorders because it's  
22 relevant to that condition. So, those are the kinds of  
23 areas we want to first ask the Committee and then our  
24 organizational reps and then throw it open to the rest

1 of the audience.

2           What are the kinds of things that we should  
3 include around family history? I keep talking about  
4 opportunity costs and in a severe tax limitation state  
5 like Colorado it's a real issue. We would not be able  
6 to add a condition in the next two years that would cost  
7 any money because there's no money for the next two  
8 years. That's like, okay, I got that. So, that's an  
9 opportunity cost. What are you going to not do, how are  
10 you going to address the overall system that has to  
11 respond to many, many important public health needs, one  
12 of which is newborn screening? So, that's the kind of  
13 opportunity cost issue which maybe doesn't occur to  
14 everybody, but I think about quite often.

15           So, we are thinking about considering the  
16 full range of peer-reviewed evidence. And the concept  
17 is we wouldn't use a lesser bar to evaluate qualitative  
18 research or research on these other family-related  
19 outcomes. We don't need to and so the idea is that we  
20 want evidence-based evidence. We will prioritize the  
21 individual child, but we could also look at benefits and  
22 harms to the family, to society, and make sure we  
23 consider equity, and I talked about these three issues.

24           And then, harms and benefits should be

1 supported by peer-reviewed evidence directly relevant to  
2 the consideration under review; however, can we learn  
3 things from other conditions that might help the  
4 Committee in making its decision? So, now I'll pause  
5 and maybe we've all talked these out in the Committee so  
6 far, but are there additional thoughts from the  
7 Committee or the organizational reps? Shawn.

8 DR. MCCANDLESS: Shawn McCandless, Committee  
9 Member. So, I'm looking at the last bullet point,  
10 "Harms and benefits should be supported by peer-reviewed  
11 evidence directly relevant to the condition under  
12 review." Part of the problem that we constantly have is  
13 that there is little to no significant research about  
14 harms. People can point to a couple of ongoing studies  
15 and specific individual studies that, for a couple of  
16 conditions, are trying to assess harms.

17 I just want to be thoughtful that we're not  
18 creating a bar here, the evidence-based requirement for  
19 hypothetical harms that can't be met with the current  
20 system. Because one way to interpret that would be to  
21 say unless there is a peer-reviewed document,  
22 peer-reviewed paper that demonstrates harm we shouldn't  
23 consider that and that would be ideal. But I think for  
24 both benefits and harms it's important to keep in mind

1 that there are going to be some potential, and maybe  
2 potential and obvious or maybe potential and less  
3 obvious harms and benefits that we still need to be able  
4 to think about, in my opinion.

5 DR. CALONGE: And maybe the underline is too  
6 dramatic. I would point to, though, the use of the word  
7 "should," and maybe it's really ideal it should, and we  
8 need to be open to thinking about where the evidence is  
9 less strong, but the potential for harm is still great.  
10 Okay.

### 11 **Public Discussion**

12 DR. CALONGE So, is there anyone in the  
13 audience who would be interested in coming up to the  
14 microphone and giving us a thought about potential  
15 benefits and harms? And if you could just identify  
16 yourself for the record, that would be great.

17 DR. ELLINWOOD: Thank you. I'm Matthew  
18 Ellinwood. I'm the Chief Scientific Officer at the  
19 National MPS Society. We have the distinction of  
20 actually having written two successful nominations to  
21 the RUSP. I have written one. I would observe that the  
22 current form is just two years old. It's two years and  
23 one month old and I don't know that the considerations



1 for changes really gives you much greater flexibility  
2 for advocacy organizations to fulfill.

3           HRSA worked very well with us. It was about  
4 a nine-month period for us to work out the kinks to get  
5 our MPSII nomination in. Regarding harms and benefits,  
6 I'd like to echo what Shawn said. Let's just try a  
7 thought experiment. A year to get agencies to approve  
8 funding for research, a year to get the applications in  
9 and get them approved, two to three years to do the  
10 research, we're talking five years before there is a  
11 body of literature that helps support information on  
12 this.

13           We're already creating more bars than we  
14 need to for advocacy or organizations to get things  
15 through. There are family benefits. There are family  
16 harms. I think for the most part the family harms are  
17 associated with the false positive diagnosis. I would  
18 concentrate more on that. This is never going to be a  
19 body of information you're going to have conclusive  
20 research on. It's just too difficult to do. With rare  
21 disease, we cannot get the level of epidemiologically  
22 accurate information in our kind of atomized health care  
23 delivery system. It's just going to be too problematic.

24           I'd also like to put a pitch to the

1 Committee. Reconsider the N-of-1 rule. There is no  
2 need for one perspective program to screen and identify  
3 and confirm and treat a patient when all of those  
4 elements can be provided in parallel rather than series.  
5 If we have not learned anything from COVID, we move  
6 faster when elements of any scientific medical problem  
7 are chopped up so we can pursue them in parallel rather  
8 than in series.

9 We are, indeed, right testing a system,  
10 Scott, but testing a system in North Carolina is not  
11 going to be the same system that gets instituted in  
12 Ankony and Iowa City and Denver and Phoenix, so, okay,  
13 enough of that. Thank you.

14 DR. CALONGE: Thank you. Next--and please  
15 identify yourself.

16 MS. Brackbill: Lesa Brackbill,  
17 Leukodystrophy Newborn Screening Action Network, but  
18 also a Krabbe Disease mother. When discussing the harms  
19 and the benefits, I just want to remind you all from the  
20 family perspective that no matter when the child is  
21 diagnosed, whether it is through newborn screening or  
22 symptomatically, the family has to deal with that  
23 reality, and I believe the harm is far less when it  
24 comes through newborn screening because the family has

1 options.

2           Otherwise, like in our case, our only option  
3 is to watch our child die a painful and long-suffering  
4 death, so no matter what we are harmed, but we have to  
5 look at what harm is less. I love that you did mention  
6 that there are psychological benefits and harms. We are  
7 more likely to have things like PTSD, things like that  
8 from our child's diagnosis when it's symptomatic and so  
9 I understand we have to take all of this into  
10 consideration, but I just want to make sure that those  
11 of us who didn't have the benefit of newborn screening  
12 that our voices are heard as well.

13           The other thing I wanted to say, as we  
14 always say, it is just a screening, so the parents get  
15 to choose what to do with that information. They're not  
16 forced to treat their child, but they're given those  
17 options, which I believe is a benefit greater than any  
18 of these harms. Thank you.

19           DR. CALONGE: Thank you. Please identify  
20 yourself.

21           MS. GAVIGLIO: Amy Gaviglio, I'm a genetic  
22 counselor and consultant for a number of organizations  
23 in the newborn screening space. For me, I think, as we  
24 think about benefits and harms, and I'm really glad that

1 the discussion is around what does evidence look like  
2 for both of these, but I think what feels like is  
3 missing -- and maybe this gets to some of your  
4 questions, Dr. McCandless, is but how is it actually  
5 going to be used in the decision matrix? What is the  
6 threshold? How much do we need to show more benefit  
7 than harm and how do we set up a system so that that  
8 remains constant, and that decision isn't dictated by  
9 who's on the Committee and who feels harms are more  
10 evident than benefits.

11           So, I think really thinking about this  
12 discussion, not just in what should we be collecting as  
13 it pertains to evidence for benefits and harms, but  
14 being very clear then in how that is actually going to  
15 be plugged consistently into the decision matrix will be  
16 really helpful for advocates who are trying to submit a  
17 nomination and I think often feel like the goal post  
18 moves as we talk about benefits and harms. So, I'd just  
19 encourage a lot of that discussion on not just what  
20 we're collecting, but then how you're going to actually  
21 think about benefits and harms as it pertains to the  
22 matrix and what is that threshold for a yes-no vote.

23           DR. CALONGE: Thank you. Yes, please  
24 identify yourself.

1 DR. BAILEY: Don Bailey, RTI International.  
2 So, first I think I need to say I think most people know  
3 that I'm on the National Academy of Sciences Committee.  
4 I need to be very careful about saying things that are  
5 giving advice because I am making sure it's not advice  
6 coming from the National Academy of Sciences Committee.  
7 It's a personal opinion.

8 So, I'm here today just saying, as you might  
9 expect, I really appreciate the thinking about expanded  
10 considerations of harms and benefits. And I think  
11 that's especially important when there's a close call.  
12 Like with the Krabbe vote, it's seven to seven. People  
13 came in with different values and different perspectives  
14 in weighing harms and benefits in different kinds of  
15 ways. And thinking about those maybe a little more  
16 broadly could've helped push the decision either way, so  
17 doing it in a comprehensive way is especially important.

18 I wanted to say that there might be a set of  
19 harms, and this is going to the last one and to your  
20 comment a bit, Shawn, to a set of harms that we will  
21 never be able to answer the harms and maybe benefits to,  
22 but I'll just focus on harms for a minute. That we'll  
23 never be able to answer on a condition-by-condition  
24 basis. But there may be some general harms that have

1 been brought up over and over again, like harms about  
2 uncertainty about later onset, harms about false  
3 positives, harms about carrier detection.

4           There could be a collection of information  
5 that's gathered about those topics that then could be  
6 used as the Committee is having these discussions that  
7 doesn't necessarily -- you could then bring it to  
8 discussion for this particular disorder, but having that  
9 knowledge base that says, in general, here's what we  
10 know about anxiety about uncertainty and here's what  
11 could be done to mitigate it, then that could help maybe  
12 inform or answer some of the questions about the harms  
13 that are otherwise brought up to that particular  
14 disorder when it may have been answered in number of  
15 other context and not just this particular one. Thank  
16 you.

17           DR. CALONGE: Thank you. Please identify  
18 yourself for the record.

19           MR. SIMON: Hi. Good afternoon. My name is  
20 Dylan Simon with the Ever Life Foundation for Diseases.  
21 I do want to take a moment before I go into my comments  
22 just to thank the Committee for this opportunity before  
23 I comment. The patient community has long asked to be  
24 able to engage more directly with this Committee, and

1 from the listening sessions of last year to this comment  
2 session this is much appreciated. I can hear from the  
3 tone it creates confidence.

4 For me, personally, I want to bring up a  
5 couple points to Dr. McCandless' point in terms of  
6 setting high bars. I think what we need to think about  
7 is in addition to the benefit of harms, when reviewing  
8 the package, potential harms is setting too high a bar  
9 that a community cannot submit a package in and of  
10 itself.

11 So, when we're talking about the harms and  
12 benefits should be specific to an individual's  
13 condition, I know you said that is a preferred method  
14 and understanding that that may not be possible, but  
15 when communities are going to be looking at that on the  
16 website and may not speak directly to HRSA first. Their  
17 interaction is going to be on the website. They're  
18 going to see that and say, well, there's no world in  
19 which I can develop family benefits and harms in my  
20 community. And so, you're going to see communities not  
21 even attempt to submit a package and we're well aware  
22 that there are significant harms to that to many within  
23 the community to think that it's not even possible.  
24 That newborn screening to them is not even possible at

1 the federal level.

2           So, I want to make sure to recognize that  
3 there needs to be a high evidentiary standard and we're  
4 not here recommending lowering that, but every time you  
5 add a new bar, you're making it harder and harder for  
6 members of the community to submit a package. And to  
7 the point that Natasha Bonhomme made earlier, there's  
8 already so much that the community is doing, whether it  
9 be pilot studies or helping to support the development  
10 of diagnostics and therapeutics, when you add more  
11 requirements on top of that, that will require more  
12 resources, more funding, more manpower, you're going to  
13 lose communities along the way that don't have that.  
14 So, I just urge the Committee to keep that in mind as  
15 well. Thank you.

16           DR. CALONGE: Thank you. Shawn.

17           DR. MCCANDLESS: Shawn McCandless, Committee  
18 Member. I think this is an opportunity, I think, to  
19 thank the people that did the hard work on this because  
20 it does seem to me that the proposal that's been made to  
21 have a preliminary, simpler approach to kind of ticking  
22 the first set of boxes. To me, that does seem to level  
23 the playing field and it does reduce the burden for  
24 groups that may not have for very rare diseases where



1 it's a smaller number of people involved or where  
2 there's not pharmaceutical companies that are supporting  
3 the effort to develop newborn screening packages.

4           It seems to me that that levels the playing  
5 field and does help a smaller community or a less  
6 well-resourced community kind of at least get over the  
7 initial activation and energy of is this even feasible.  
8 And so, I think you all should be congratulated for the  
9 work that was done because I think this proposal is an  
10 improvement over the existing system and then it also  
11 sounds like that the process will be more clearcut and  
12 therefore the support from HRSA and from other groups  
13 should be able to be more clearcut and helpful to again  
14 reduce the activation energy for those less  
15 well-resourced conditions and support groups that are  
16 advocating for newborn screening. So, to me, it seems  
17 like a really good opportunity to say to the people who  
18 did the work here I think this is actually a step in the  
19 right direction.

20           DR. CALONGE: Thanks, Shawn. And I think we  
21 did talk a long time about that last table -- never  
22 mind. Natasha, you go next.

23           MS. BONHOMME: Are you sure you don't want  
24 to finish your thought?

1 DR. CALONGE: I'll remember it.

2 MS. BONHOMME: Natasha Bonhomme, Genetic  
3 Alliance. A question that I had, as the Committee or Ad  
4 Hoc Group was discussing harms and benefits, how much  
5 discussion went into deciphering between harms and  
6 benefits of the information versus the process versus  
7 the communication because we have so much of that  
8 hearing from families who have gone through, let's say,  
9 a false positive who it really so much was how the  
10 information was delivered. So, it's not so much you had  
11 a false positive, but it was the how that really caused  
12 the harm or made it become such a particularly negative,  
13 extended negative moment as opposed to a "that was hard,  
14 but I worked with my pediatrician, and we were able to  
15 figure it out." I'm trying to think where that fits in  
16 since for the limited research that we have done in this  
17 space that comes up all the time and that is not about a  
18 particular condition or a particular screening modality,  
19 so I don't know if that came up in the discussion as you  
20 were putting this together.

21 DR. CALONGE: I don't think we thought about  
22 it that specifically. I did this morning, though, when  
23 I heard presentations on service versus outcomes and so  
24 that was really important for me to hear, so we're

1 broadening that concept of what could be there. Susan.

2 DR. TANKSLEY: Susan Tanksley, Association  
3 of Public Health Labs. Maybe a simple question. I'm  
4 just wondering how this process in reevaluating the  
5 nomination process lines up with the work that NASEM is  
6 doing in what the timelines are for those.

7 DR. CALONGE: I think the NASEM study could  
8 inform this. I also know that NASEM studies take a  
9 while, having been on several of them, so I feel like  
10 the next version of the nomination package has a chance  
11 of going into the field prior to that report coming out,  
12 but maybe I'll be wrong, so I appreciate the concept.  
13 You're right. They're talking about the things we're  
14 talking about. Dean.

15 DR. SUHR: Dean Suhr, MLD Foundation. I'd  
16 just like to echo what Dillon started us off with, which  
17 is thank you for including us in the conversation and  
18 having a dialogue. This is very reinforcing because  
19 this package ultimately is for us, the community, the  
20 advocacy groups and so to have a voice and input is  
21 appreciated.

22 Dr. McCandless, I just wanted to reflect on  
23 your comment about leveling the playing field.  
24 Respectfully, I think Natasha mentioned this, but also

1 with what EveryLife and Expecting Health are doing. The  
2 boot camps, and those kinds of environments. It amazes  
3 me. I was a speaker at one of the earlier ones and  
4 attended a number of the others. It amazes me the  
5 number of groups that are coming there to figure out how  
6 to do newborn screening.

7           And the message, as they walk away, is  
8 certainly as we engage with them is not you can't do  
9 this, but let's talk about how you can and what are the  
10 problems and what are the challenges, so there's a lot  
11 of help out there for them.

12           I've talked about harms and benefits before.  
13 I want to apply that to a different group. I want to  
14 apply that to the Committee. I want to make sure,  
15 because we've talked over the past few years of the  
16 tsunami of potential nominations coming your way. I  
17 want to make sure that what appears to be a  
18 steppingstone process, and mind you, I haven't seen the  
19 forms, I haven't quite grasped all of this, but how this  
20 process which you reflected should make it easier for  
21 us, or step-by-step. By the way, I'd never take a first  
22 step without knowing what the next three or four are  
23 because either I don't want to waste my time, or I don't  
24 want to get my ducks in a line so that I didn't get a

1 partial yes or a partial no, early on.

2 But what does this mean to the Committee and  
3 your engagement along the way? Is it more work, does it  
4 take more time to talk about MLD or any other disorder  
5 two or three times instead of one or two times? I think  
6 we ought to be thinking about that in that context too  
7 because throughput of your committee, throughput of the  
8 evidence review, and now the nomination and  
9 prioritization, which again I'm not quite sure how that  
10 fits in here with this intermediate step, but I just  
11 want to give the grace, I would say, that it's not all  
12 about us. It's about you as well, and we, as an  
13 ecosystem, need to work efficiently together.

14 DR. CALONGE: Thanks. What I was going to  
15 say was we talked a lot about whether we should ask  
16 nominators to fill out the table and I'll try to say it  
17 again. It wasn't meant to be a bar, and maybe that  
18 needs to be in the explanation. You may want to think  
19 about this. And so, the idea is that nominators are  
20 thinking about potential harms and benefits, but  
21 recognize that that's what the evidence review for, not  
22 only are they going to find that, but they're going to  
23 quantify it, which gets me to my second point.

24 The way to consider family benefits and

1 additional harms is through evidence, and so the  
2 evidence bar still needs to be there for saying we  
3 believe there are family benefits associated with this.  
4 The issue about harms is that we don't routinely look at  
5 harms, but I think thinking about how appropriately to  
6 think about harms and I think that we could often, at  
7 least, apply numbers to them because we have quantitated  
8 estimates of false positives and false negative and  
9 predicted values that could be attached to most tests.

10           But to always think that it's an  
11 evidence-based approach is just -- I think what we're  
12 trying to do by saying supported by peer-reviewed  
13 evidence, so I don't want to make you think that if  
14 we're going to consider family values, they're not going  
15 to need to meet an evidence bar. But what I will say is  
16 if they're not in the nomination package, they don't  
17 have to be in the nomination package. It's very clear  
18 that all of the benefits might accrue to the individual  
19 child themselves, in which case that's plenty, right,  
20 for sorting through thinking about the evidence and  
21 looking at risk benefits. And we'll have to deal with  
22 the issue about the harms associated that we don't  
23 measure well and that we do worry about.

24           Purposefully, the come in the U.S.

1 Preventive Service Taskforce every review, so it's just  
2 something to keep in mind. And the last thing is that I  
3 actually think this dialogue with HRSA staff early is  
4 going to help. So, maybe you're already thinking about  
5 Steps Three and Four, but would you like some guidance  
6 on Questions One, Two, Three, and Four that might help  
7 you as an advocate or a family move a little farther  
8 ahead.

9           The last issue about the tsunami, as I  
10 expected, is going to come after I leave, which is fine.  
11 No, I think we're thinking about that as well with the  
12 prioritization strategies that Dr. Kemper in the  
13 evidence-based review has thought about. And as that  
14 actually hits, I think the Committee will adapt its  
15 processes, its size, it's staffing to accommodate any  
16 real incredible increase in the number of conditions  
17 that come to us at one time.

18           I feel like we adapt. We're adapting now.  
19 The issue about you've only had this for two years. I  
20 read a nomination package that came out of that and it  
21 needed to be revised, so I just know that. So, we want  
22 to be a learning community. I would say this is a  
23 refinement of our processes, not a redesign and we'll  
24 try it, and we will find the issues that don't work as

1 well as we'd hoped they would and then we'll refine them  
2 again.

3           But it always has to be, like we're doing  
4 now, in contact with the people trying to put together  
5 nomination packages so that we can look at them in a  
6 timely fashion and get our decision-making in a more  
7 timely way. So, I hope that helps.

8           Michael, do you have anything you want to  
9 add to the discussion? Sorry, I always have to pick on  
10 Michael.

11           DR. WARREN: I appreciate the conversation  
12 today and I just want to reflect on the last part and  
13 this notion of the tsunami of potential nominations and  
14 I appreciate the mention from the speaker earlier. I do  
15 think the pragmatist in me does think a lot about what  
16 does look like from a HRSA staffing and resource  
17 standpoint and so I think it's helpful to at least state  
18 on the record what those limitations are.

19           The budget for the Inheritable Disorders  
20 legislative authority, the current budget outlook, all  
21 of those factors come into play and there's not just a  
22 situation where the Committee wants to change this  
23 process, go hire five more FTEs. I wish that were the  
24 case. So, we will have to navigate that as it comes.



1 And I think we feel very strongly that having more  
2 engagement, being more transparent, and in particular  
3 engaging families more, is the right thing to do and so  
4 we want to at least have this dialogue and understand  
5 what the needs and desires are and then, do the best we  
6 can, figure out how to make that happen.

7 DR. CALONGE: Thanks. Do not forget there  
8 will be a Federal Registry posted for people to provide  
9 written comments on what we've talked about today. I  
10 hope those of you who wanted to think a bit about it  
11 first will do that and people who are online I think  
12 might want to do that as well. I will pause since we're  
13 not quite at time to see if there are any online public  
14 comments. And again, I'm not certain of the process. I  
15 just know we won't see you, so someone is figuring out  
16 that you're there. And while we're waiting, please  
17 identify yourself.

18 MR. SIMON: Dylan Simon from Ever Life  
19 Foundation again. Happy to kill a little time while  
20 those online find the raised hand function. One thing I  
21 did want to flag, I know we're not going to get into  
22 logistics today, but as you decide this, recognize what  
23 the implementation timeline for this will be. There are  
24 multiple communities right now who are preparing

1 packages and they're waiting until the May pause.  
2 That's understandable, what's talked about here and in  
3 the previous slides about new requirements needed for  
4 the data and if that's brand new information those  
5 communities need to collect, there needs to be a thought  
6 processing to how are we going to phase in this  
7 implementation to ensure the fact that a community that  
8 has a package ready to go on May 15th now, all of a  
9 sudden, doesn't have to take another year and a half to  
10 go collect new data and so what does that process look  
11 like. And they can look at a variety of ways, but they  
12 just want to flag as logistic as you get into the  
13 details to look at it. Thank you.

14 DR. CALONGE: Appreciate it. Thanks. And  
15 so, noted. So, I think we have no comments provided  
16 online, so -- I have Michael Gelb raised a hand.  
17 Michael.

18 DR. GELB: There's a lot of talk about harms  
19 and benefits and I just want to say things have gotten  
20 better in the last five years. I don't know what all  
21 these harms are that you're talking about. I mean Shawn  
22 McCandless talked about a Krabbe story where the family  
23 got ruined because of a false positive. I mean those  
24 days are long gone with second tier markers like

1 psychosine and glycosaminoglycans for MPS1 there  
2 essentially is no false positives. Now, there is late  
3 onset disease, but with these biomarkers, we're pretty  
4 sure that late onset disease is coming in the first one  
5 or two decades of life. And so, I think from MLD there  
6 is no false positives and there is no false negative and  
7 it's all published, so I think we need to go a little  
8 bit easy on all the harms discussions when things coming  
9 there isn't any harms essentially. The tests are nearly  
10 perfect if we do them right.

11           And I think it's important that newborn  
12 screening labs take on second tier test or at least that  
13 it gets done, but we see a mixture of uptake in newborn  
14 screening labs refusing to do second tier tests, like in  
15 Ohio they don't do psychosine. I mean it's crazy, so we  
16 need to get better at that. Thank you.

17           DR. CALONGE: All right, I think we've come  
18 to the end of the agenda. I want to pause again and  
19 first of all thank all of our speakers. The  
20 presentations were outstanding, gave us a lot to think  
21 about, filled in some gaps for at least some of us, and  
22 I can only tell you how much I look forward to, Don, to  
23 your instrument as it rolls out, as you get more  
24 experienced and you generate data that we can use to

1 assess family impact of screening, treatment, and other  
2 things associated with newborn screening. Great. I  
3 really appreciated Aaron coming and being here with us  
4 in person and having to watch your team lose from  
5 Rockville, but your presentation didn't suffer a moment.  
6 It was absolutely great, as was Dr. Ackerman's and  
7 really, I think helped move us all forward in our  
8 thinking.

9           Alex, I appreciate the update on DMD. I  
10 know we're all anxious about the upcoming presentation  
11 and that will be fantastic to hear as well. I  
12 appreciate the input of all the people who served on the  
13 working groups to provide the draft slides that you saw  
14 today and active discussion that will help guide changes  
15 and help us fill in the blanks of all the things we  
16 forgot to include because that's what presenting  
17 provides.

18           And then, finally, for the public comment  
19 periods, I realize it's difficult for many people, if  
20 not most people, to speak in front of a group of people.  
21 For some people it's the worst, scariest thing you'll  
22 ever do, and everyone was so accomplished at it. I  
23 appreciate the time, effort, and the shared experiences  
24 and knowledge that brought forward. And the last thing

1 I would say is thanks to everyone who made a public  
2 comment. I think you showed us that's something we  
3 could do. We could probably do on a regular basis in  
4 that more interactive forum, as we did at the end,  
5 especially when there's specific topics where that kind  
6 of more free flow of information and dialogue can be  
7 beneficial to the Committee in its learning and it's  
8 doing its work.

9                   So, it's not like we're done yet. We have a  
10 full day tomorrow. We have very important public  
11 comment period and expedited evidence review and  
12 discussion, a vote on Krabbe Disease, and there is just  
13 so you don't leave early, an APHL presentation after the  
14 vote. Did I miss anything? Leticia? It's been a great  
15 day. Thanks so much for your time and have a good  
16 evening.

17                   (Whereupon the meeting was adjourned at 4:11  
18 p.m., to reconvene on Tuesday, January 30, 2024, at  
19 10:00 a.m.)