

1
2
3
4 THE ADVISORY COMMITTEE ON HERITABLE DISORDERS
5 IN NEWBORNS AND CHILDREN
6 IN-PERSON/WEBINAR
7
8
9
10
11
12
13
14
15
16

17 HRSA HEADQUARTERS
18 5600 FISHERS LANE
19 ROCKVILLE, MARYLAND 20852 (Pavilion)
20 Tuesday, January 30, 2024
21

Table of Contents

1

2 COMMITTEE MEMBERS 3

3 EX - OFFICIO MEMBERS 5

4 DESIGNATED FEDERAL OFFICIAL 6

5 ORGANIZATIONAL REPRESENTATIVES 7

6 Welcome, Roll Call, Opening Remarks, and Committee
7 Business 11

8 Public Comments 19

9 Newborn Screening for Krabbe Disease: An Expedited
10 Evidence-Based Review 49

11 Committee Discussion..... 74

12

13 Committee Report: Newborn Screening for Krabbe
14 Disease 100

15 Committee Discussion..... 109

16

17 Vote On Whether or Not To Recommend Krabbe Disease
18 For Inclusion On The Recommended Uniform Screening
19 Panel..... 139

20

21 New Business 142

22

23

COMMITTEE MEMBERS

Ned Calonge, MD, MPH (Chairperson)

Associate Dean for Public Health Practice
Colorado School of Public Health

Michele Caggana, ScD, FACMG

Deputy Director, Division of Genetics
New York Department of Health

Jannine D. Cody, PhD

Professor, Department of Pediatrics
Director, Chromosome 18 Clinical Research Center
Founder and President, The Chromosome 18 Registry &
Research Society
University of Texas Health Science Center

M. Christine Dorley, PhD, MS

Assistant Director, Laboratory Services
Tennessee Department of Health

COMMITTEE MEMBERS

(continued)

Jennifer M. Kwon, MD, MPH, FAAN

Director, Pediatric Neuromuscular Program

American Family Children's Hospital

Professor of Child Neurology

University of Wisconsin School of Medicine

Ashutosh Lal, MD

Professor of Clinical Pediatrics

University of California San Francisco

UCSF) School of Medicine

UCSF Benioff Children's Hospital

Shawn E. McCandless, MD

Professor, Department of Pediatrics

Head, Section of Genetics and Metabolism

University of Colorado Anschutz Medical Campus

Children's Hospital Colorado

COMMITTEE MEMBERS

(continued)

Chanika Phornphutkul, MD, FACMG

Professor of Pediatrics and Pathology and

Laboratory Medicine and Genetics

Director, Division of Human Genetics

Department of Pediatrics

Brown University

Hasbro Children's Hospital / Rhode Island Hospital

EX - OFFICIO MEMBERS

Agency for Healthcare Research & Quality

Kamila B. Mistry, PhD, MPH

Senior Advisor

Child Health and Quality Improvement

Centers for Disease Control & Prevention

Carla Cuthbert, PhD

Chief, Newborn Screening and Molecular Biology Branch

Division of Laboratory Sciences

National Center for Environmental Health

EX - OFFICIO MEMBERS

(continued)

Food & Drug Administration

Paula Caposino, PhD

Acting Deputy Director, Division of Chemistry
and Toxicology Devices

Office of In Vitro Diagnostics

Health Resources & Services Administration

Michael Warren, MD, MPH, FAAP

Associate Administrator

Maternal and Child Health Bureau

National Institutes of Health

Diana W. Bianchi, MD

Director, Eunice Kennedy Shriver National Institute of
Child Health and Human Development

DESIGNATED FEDERAL OFFICIAL

CDR Leticia Manning, MPH

Health Resources and Services Administration

Genetic Services Branch

Maternal and Child Health Bureau

ORGANIZATIONAL REPRESENTATIVES

American Academy of Family Physicians

Robert Ostrander, MD
Valley View Family Practice

American Academy of Pediatrics

Debra Freedenberg, MD, PhD
Medical Director, Newborn Screening and Genetics,
Community Health Improvement Texas Department of State
Health Services

American College of Medical Genetics & Genomics

Cynthia Powell, PhD, FACMG, FAAP
Professor of Pediatrics and Genetics
Director, Medical Genetics Residency Program Pediatric
Genetics and Metabolism
The University of North Carolina at Chapel Hill

American College of Obstetricians & Gynecologists

Steven J. Ralston, MD, MPH
Chair, OB/GYN Pennsylvania Hospital

ORGANIZATIONAL REPRESENTATIVES

(continued)

Association of Maternal & Child Health Programs

Karin Downs, RN, MPH

Maternal and Child Health Director (retired)

Massachusetts Department of Public Health

Association of Public Health Laboratories

Susan M. Tanksley, PhD

Manager, Laboratory Operations Unit

Texas Department of State Health Services

Association of State & Territorial Health Officials

Scott M. Shone, Ph.D., HCLD(ABB)

Director

North Carolina State Laboratory of Public Health

**Association of Women's Health, Obstetric and Neonatal
Nurses**

Shakira Henderson, PhD, DNP, MS, MPH, RNCNIC, IBCLC

Health Board Director

Vice President, Research Officer

University of North Carolina Health

ORGANIZATIONAL REPRESENTATIVES

(continued)

Child Neurology Society

Margie Ream, MD, PhD

Associate Professor

Director, Leukodystrophy Care Clinic

Director, Child Neurology Residency Program

Nationwide Children's Hospital, Division of Neurology

Department of Defense

Jacob Hogue, MD

Lieutenant Colonel, Medical Corps, U.S. Army

Chief, Genetics, Madigan Army Medical Center

Genetic Alliance

Natasha F. Bonhomme

Vice President of Strategic Development

March of Dimes

Siobhan Dolan, MD, MPH, MBA

Professor and Vice-Chair, Genetics and Geonomics

Department of Obstetrics, Gynecology, and Reproductive
Science

Icahn School of Medicine at Mount Sinai

ORGANIZATIONAL REPRESENTATIVES

(continued)

National Society of Genetic Counselors

Cate Walsh Vockley, MS, LCGC

Senior Genetic Counselor

Division of Medical Genetics

UPMC Children's Hospital of Pittsburgh

Society for Inherited Metabolic Disorders

Susan A. Berry, M.D.

Professor, Division of Genetics and Metabolism

Department of Pediatrics

University of Minnesota

P R O C E E D I N G S

**Welcome, Roll Call, Opening Remarks,
and Committee Business**

DR. CALONGE: Good morning. I hope everyone had a restful evening. Again, I just want to express my gratitude to the presenters yesterday, members of the public that provided comments and the discussion, presentations, and dialogue from yesterday will greatly aid us today and in moving forward.

We got a lot of good comments during the session, but I want to recognize that some people hadn't had the opportunity to make comments. Some people might want actually to look at the materials and think about them a little bit more before they make comments and so we're publishing a request for information in the Federal Registry notice. I'll be on the Committee's website, so anyone can submit comments to HRSA, and we will review everything received and will continue the discussion at the May meeting.

I also want to reiterate that as we looked at revising the nomination package and the process, our intent is not to add additional burdens. We really are looking for a way to reduce the burden on nominators and at the same time stay true to making our decisions based on the available evidence.

1 So, those are just some introductory
2 comments to get us started. We have a few topics on the
3 agenda today. We're going to start with public
4 comments. We'll then have a presentation from the
5 Evidence Review Group on the Krabbe Disease expedited
6 evidence-based review. Then we'll have a Committee
7 report from the Committee Liaisons on Krabbe Disease.
8 We'll have discussion and then we have scheduled a vote
9 on whether to recommend Krabbe Disease to the
10 Recommended Uniformed Screening Panel.

11 Assuming we have time, towards the end of
12 the day we're going to have updates from NewSTEPS, from
13 APHL, and any other new business. With that, let me
14 turn things over to Leticia for some administrative
15 issues.

16 CDR. MANNING: Good morning and welcome to
17 all our visitors here today. I'm going to first start
18 with roll call and then I'll give a couple of
19 announcements. I'm going to start with the Committee
20 Members. From the Agency for Healthcare Research and
21 Quality, Kamila Mistry.

22 DR. MISTRY: Here.

23 CDR. MANNING: Michele Caggana.

24 DR. CAGGANA: Here.

25 CDR. MANNING: Ned Calonge.

1 DR. CALONGE: Here.

2 CDR. MANNING: Carla Cuthbert, from the
3 Centers for Disease Control and Prevention.

4 DR. CUTHBERT: I'm here.

5 CDR. MANNING: Jannine Cody.

6 DR. CODY: I'm here.

7 CDR. MANNING: Christine Dorley.

8 DR. DORLEY: Here.

9 CDR. MANNING: From the Food and Drug
10 Administration, Paula Caposino.

11 DR. CAPOSINO: I'm here.

12 CDR. MANNING: From the Health Resources and
13 Services Administration, Michael Warren.

14 DR. WARREN: Here.

15 CDR. MANNING: Jennifer Kwon.

16 DR. KWON: Here.

17 CDR. MANNING: Ash Lal.

18 DR. LAL: Here.

19 CDR. MANNING: Shawn McCandless.

20 DR. MCCANDLESS: Here.

21 CDR. MANNING: From the National Institute
22 of Health, Melissa Parisi.

23 DR. PARISI: I'm here and Mollie Minear will
24 be covering for me during the few times when I won't be
25 available this morning.

1 CDR. MANNING: Noted. Thank you. And
2 Chanika Phornphutkul.

3 DR. PHORNPHTKUL: I'm here.

4 CDR. MANNING: And for our organizational
5 representatives, from the American Academy of Family and
6 Physicians, Robert Ostrander.

7 DR. OSTRANDER: Here.

8 CDR. MANNING: From the American Academy of
9 Pediatrics, Debra Freedenberg.

10 DR. FREEDENBERG: Here.

11 CDR. MANNING: From the American College of
12 Medical Genetics, Cindy Powell.

13 DR. POWELL: Here.

14 CDR. MANNING: From the American College of
15 Obstetricians and Gynecologists, Steven Ralston. His
16 hand is raised. I think he's here. From the
17 Association of Public Health Laboratories, Susan
18 Tanksley.

19 DR. TANKSLEY: Here.

20 CDR. MANNING: From the Association of State
21 and Territorial Health Officials, Scott Shone.

22 DR. SHONE: Here.

23 CDR. MANNING: From the Association of
24 Women's Health, Obstetric and Neonatal Nurses, Shakira
25 Henderson.

1 (No response)

2 CDR. MANNING: From the Child Neurology
3 Society, Margie Ream.

4 DR. REAM: Here.

5 CDR. MANNING: From the Department of
6 Defense, Jacob Hogue.

7 DR. HOGUE: Here.

8 CDR. MANNING: From the Genetic Alliance,
9 Natasha Bonhomme.

10 MS. BONHOMME: Here.

11 CDR. MANNING: From the March of Dimes,
12 Siobhan Dolan.

13 DR. DOLAN: Here.

14 CDR. MANNING: From the National Society of
15 Genetic Counselors, Cate Walsh Vockley.

16 MS. WALSH VOCKLEY: Here.

17 CDR. MANNING: And from the Society for
18 Inherited Metabolic Disorders, Sue Berry.

19 DR. BERRY: Here.

20 CDR. MANNING: And from the Association of
21 Maternal and Child Health.

22 (No response)

23 CDR. MANNING: That completes roll call.
24 Thank you. I just have a few announcements for folks.
25 Just a conflict of interest reminder, this is a note to

1 Committee Members that you must recuse yourself from
2 participation in all particular matters likely to affect
3 the financial interest of any organization with which
4 you serve as an officer, director, trustee, or general
5 partner, unless you are also an employee of the
6 organization, or unless you have received a waiver from
7 Health and Human Services authorizing you to
8 participate.

9 As in the case today, when a vote is
10 scheduled or an activity is proposed and you have a
11 question about a potential conflict of interest, please
12 notify me immediately. You can also email me or just
13 come up and find me.

14 According to FACA, all Committee meetings
15 are open to the public. If the public wish to
16 participate in the discussion, the procedures for doing
17 so are published in the Federal Register and/or are
18 announced at the opening of a meeting. Today we will
19 have one public comment period. Only with advanced
20 approval of the Chair or the Designated Federal Officer,
21 may public participants question Committee Members or
22 other presenters. Public participants may submit
23 written statements, and we did receive written
24 statements and those were shared with Committee Members.

25 For visitors in this building, you must

1 remain on the fifth floor. There is a cafe with some
2 bites across the way here. There's also a smaller store
3 where you can self-pay to buy drinks. They have snacks
4 and things to your left there. There are two bathrooms
5 over by the cafe and then two bathrooms just behind us
6 on each side. And if, for whatever reason, we have to
7 evacuate the building, we will evacuate the same way
8 that you all entered the building, out of that door
9 there to the left.

10 For those of you that are joining us online,
11 please note, since we'll be beginning with public
12 comment, you will be promoted to a panelist and able to
13 speak, but it may be a slight delay, about five to ten
14 seconds. And those are all the announcements that I
15 have, and I'll turn it back over to Ned.

16 DR. CALONGE: Thank you, Leticia. I want to
17 thank Committee Members and our organizational reps for
18 reviewing the February 2023 meeting summary and
19 providing us -- sorry, November. I appreciate you
20 reviewing February too, but this time I appreciate you
21 doing November, providing us comments, the changes were
22 made, and we sent you a new version for you to consider
23 today. With that, are there any other corrections to the
24 November meeting summary?

25 (No response)

1 DR. CALONGE: Hearing none, could I have a
2 motion to approve the November 2023 meeting summary?

3 DR. MISTRY: Motion to approve.

4 DR. CALONGE: Who's online?

5 DR. MISTRY: Kamila Mistry.

6 DR. CALONGE: Thanks, Kamila. So, Kamila
7 moved, and Michele seconded it.

8 DR. CAGGANA: Yes, thank you.

9 DR. CALONGE: Any further discussion?

10 (No response)

11 DR. CALONGE: Seeing none, Committee Members
12 will do a roll call vote.

13 CDR. MANNING: Kamila Mistry?

14 DR. MISTRY: Approve.

15 CDR. MANNING: Michele Caggana?

16 DR. CAGGANA: Approve.

17 CDR. MANNING: Ned Calonge?

18 DR. CALONGE: Approve.

19 CDR. MANNING: Carla Cuthbert?

20 DR. CUTHBERT: Approve.

21 CDR. MANNING: Jannine Cody?

22 DR. CODY: Approve.

23 CDR. MANNING: Christine Dorley?

24 DR. DORLEY: Approve.

25 CDR. MANNING: Paula Caposino?

1 DR. CAPOSINO: Approve.

2 CDR. MANNING: Michael Warren?

3 DR. WARREN: Approve.

4 MS. MAINNING: Jennifer Kwon?

5 DR. KWON: Approve.

6 CDR. MANNING: Ash Lal?

7 DR. LAL: Approve.

8 CDR. MANNING: Shawn McCandless?

9 DR. MCCANDLESS: Approve.

10 CDR. MANNING: Melissa Parisi?

11 DR. PARISI: Approve.

12 CDR. MANNING: And Chanika Phornphutkul?

13 DR. PHORNPHTKUL: Approve.

14 CDR. MANNING: Thank you.

15 DR. CALONGE: The meetings notes, or summary
16 is approved. I appreciate your vote.

17
18 **Public Comments**

19 DR. CALONGE: We're going to move into the
20 oral public comment period. By my count, we have eight
21 oral public comments. The majority, but not all, will
22 be focused on Krabbe Disease. I have an order and I
23 will call folks up to the microphone in that order. And
24 then I'll remind the two folks providing comments
25 online, it takes just five to ten seconds before we hear

1 you.

2 First, I have Matthew Ellinwood.

3 DR. ELLINWOOD: My name is Dr. Matthew
4 Ellinwood and I'm the Chief Scientific Officer at the
5 National MPS Society. I'd like to thank HRSA and ACHDNC
6 for the chance to speak with you today.

7 The National MPS Society is a 50-year-old
8 patient advocacy organization that advocates for the
9 mucopolysaccharidosis and mucopolysaccharidosis disorders. We
10 have the distinction of having the greatest and most
11 successful experience with this Committee and RUSP
12 nominations.

13 When I came before you two years ago to
14 speak in support of the nomination of MPSII, I pledged
15 that the Society and its members and staff would do
16 everything we could to support successful implementation
17 of MPSII newborn screening. I'd like to come before you
18 today to provide you with an update of our activities
19 over the last year.

20 In the areas of scholarship, the Society's
21 been active in the research of Dr. Michael Gelb, by
22 assisting in the re-consenting of dried blood spots to
23 improve newborn screening, second tier testing for the
24 MPS disorders. With Michael, we've been able to publish
25 endogenous biomarkers that reduce the false positive

1 rate to virtually zero for MPSS I, II, IIIA through
2 IIID, IVA, VI, and VII.

3 Additional areas of scholarship from the
4 Society included an invited publication, commentary, and
5 seminars of micro genetics from the Society's
6 perspective of and guidance of an optional RUSP
7 nomination submission. Finally, the Society has been
8 helpful and cosponsored and convened and continues to
9 manage an active Delphi consensus effort on the clinical
10 management of MPSII cases identified through newborn
11 screening.

12 The Society has been active in areas of
13 outreach to newborn screening programs through
14 co-sponsorship with the University of Minnesota and APHL
15 on a three-day symposium on newborn screening in the MPS
16 disorders this last April. This was followed up by a
17 luncheon held by the Society for APHL attendees at the
18 APHL Newborn Screening Symposium in Sacramento this past
19 October. These were incredibly well received events and
20 we've got an excellent commentary on them.

21 We brought newborn screening into our
22 Society in the person of Amy Gaviglio, who now sits on
23 our Scientific Advisory Board. We've expanded our
24 Extramural Funding Program to include newborn screening
25 efforts. And I'm pleased to say that we have an active

1 program funding RTI International to expand MPS
2 screening beyond MPS1 and II.

3 Beginning in 2018, and with the vision and
4 support of our board, and in response to MPSI
5 nomination, the Society began having a social work
6 outreach program called Pathways. This is a program
7 that involves outreach to families during their first
8 year of diagnosis. This has been incredibly successful
9 and is now being expanded to include two full-time
10 social workers. One of whom is a trained genetic
11 counselor. They spend 100% of their time traveling and
12 visiting with families to provide support to them where
13 they are, including home visits.

14 We administer to families who are English
15 and non-English speaking, and these include languages
16 such as Spanish, Portuguese, Mandarin, Farsi, and Urdu.

17 After their first year in Pathways, families are
18 encouraged to continue family support services through
19 our family support. I'd like to emphasize that
20 membership in the Society is free, and these services
21 are free. Membership, I guess, is free for all of you
22 as well, so I encourage you to think about joining.

23 In addition to these outreach programs,
24 we've also identified that we're not serving the entire
25 patient population, so we've started outreach programs

1 in underserved areas through our Crossing Paths Program
2 and have hosted events in Texas, Georgia, New Jersey,
3 Colorado, and California over the last two years.

4 In addition to these social outreaches and
5 scholarship, the Society's been active to advanced
6 advocacy at the state level, including discussions with
7 diagnostic labs and newborn screening programs. Board
8 members, staff have been presenting at state newborn
9 screening programs in Wisconsin, Maryland, Pennsylvania,
10 Alabama, Texas, Oregon, Arizona, and Iowa and we have
11 participated in outreach to clinical programs through
12 Pediatric Grand Rounds and presentations to follow-up
13 groups in places like Children's Hospital Colorado,
14 Children's Hospital of Orange County, Harbor UCLA
15 Medical Center, and the University of Iowa Stead Family
16 Children's Hospital.

17 AS we look forward to full implementation of
18 newborn screening for MPSI and II, I'm pleased to report
19 that we now have two additional states who are screening
20 for MPSII, as well as a host of others to follow. Based
21 on the Society's calculation and incorporating RUSP
22 alignment, we predict that by the end of 2025 over 55%
23 of the birth population will be screened for MPSII in
24 the United States.

25 We feel certain this is an underestimation.

1 I know there are representatives on the Committee now
2 from Tennessee and New York who are actively working to
3 implement so their numbers don't necessarily count to
4 our estimates based on RUSP nomination. That number for
5 MPSII we expect to reach 95% of the birth population by
6 the end of 2025.

7 As I conclude, I would like to remind the
8 Committee and observe that advocacy is here now to
9 assist, and in fact, we have been here from the
10 beginning. The very foundation of medical genetics as a
11 subdiscipline rests on the combined work of clinicians
12 like Eva R. Folling and parents like Britt Egalon.

13 The beginning of newborn screening by Bob
14 Guthrie is intimately related to his perspectives as a
15 father and an uncle. We helped to build and strengthen
16 newborn screening systems in this country and our mutual
17 mission will only profit from greater advocacy
18 engagement. With that closing, I thank you for your
19 time.

20 DR. CALONGE: Thank you, Matthew. Next, I
21 have Anna Grantham.

22 MS. GRANTHAM: Good morning. I am Anna
23 Grantham, the Newborn Screening Director at the Hunters
24 Hope Foundation. For 25 years, we've wept with families
25 reliving the same nightmare caused by a symptomatic

1 diagnosis of Krabbe Disease. Their baby is born seeming
2 to be perfectly healthy. Just a few months later, the
3 baby is relentlessly screaming in pain. Those who have
4 encountered a baby as they become symptomatic with
5 Krabbe Disease are forever haunted by the baby's
6 piercing, high pitch scream. It's unlike any sound a
7 typical baby would make and it's indicative of the agony
8 these innocent babies endure.

9 After weeks or months of suffering and
10 misdiagnoses, parents learn their baby will continue to
11 suffer as they rapidly lose milestones until they are
12 unable to swallow, cough, speak, laugh, smile, and have
13 lost almost all basic and voluntary function. Parents
14 are told they will need to use a suction machine at all
15 times to manage their baby's saliva, that their baby
16 will need a feeding tube, and that it's too late for
17 their child to receive any disease-altering treatment.
18 They're told to take their baby home, make them
19 comfortable, and to prepare for their funeral.

20 If you think the benefits of infantile
21 Krabbe newborn screening are insufficient, then you do
22 not understand the horror of Krabbe Disease. We're not
23 just talking about an early death. We're talking about
24 an early death preceded by agonizing suffering. Krabbe
25 disrupts every system and function within the body and

1 100% of these children die, usually by their second year
2 of life. No child should have to suffer like this when
3 there's a screen and treatment available.

4 Newborn screening for Krabbe profoundly
5 improves length and quality of life. Children diagnosed
6 and treated early are spared from the suffering
7 untreated babies experience and they are so full of
8 life. They go to school, they play with their siblings
9 and friends, they're independent, they smile, laugh,
10 communicate, and they live.

11 The current nominated protocol was developed
12 following discussion with Dr. Calonge and others last
13 spring and includes psychosine to identify babies with
14 infantile Krabbe Disease without falsely identifying
15 babies who are not at risk. These babies can be cost
16 effectively screened at birth with other RUSP conditions
17 and diagnosed in time to have a chance for a better and
18 much longer life. They deserve to have this chance.

19 When there is a newborn screening process
20 that avoids false positive screening outcomes, why
21 wouldn't you give affected children a chance to live?
22 In 2009, Krabbe wasn't added by a vote of eight to
23 seven. Last year, it was a vote of seven to seven. We
24 are so close to giving every U.S. child with Krabbe
25 Disease a chance at life. Please, help us save these

1 precious children's lives. Vote to add Krabbe to the
2 RUSP today. Thank you.

3 DR. CALONGE: Thank you, Anna. Next, online
4 we have Carlita Blackwell.

5 MS. BLACKWELL: Good morning.

6 DR. CALONGE: Good morning.

7 MS. BLACKWELL: My name is Carlita Blackwell
8 and I live in Missouri with my husband, Ryan, and our
9 vibrant seven-and-a-half-year-old boy, Ezra. I'm
10 sitting here before you once again as the mother of a
11 child whose precious life was saved by newborn
12 screening. There's not a day that goes by that we
13 aren't aware of the vastly different lie that Ezra would
14 have if he had not been transplanted after receiving the
15 diagnosis of Krabbe Disease.

16 To be honest, I strongly feel that I
17 shouldn't be sitting here before you again. I wish that
18 a year or more ago you saw the indisputable, lifegiving
19 opportunity that the screening for Krabbe Disease gives
20 children and their families, but tragically you did not.

21 And since your vote last year, we've lost numerous
22 children in our community to this devastating disease
23 because they were not given the opportunity to be screen
24 at birth like my son.

25 After receiving Ezra's diagnosis through

1 newborn screening, we were devastated, but to be handed
2 this diagnosis with all hope taken away of being able to
3 receive a treatment because it's too late is quite
4 simply cruel. I can't fathom what parents who live in a
5 state that does not screen and receive this devastating
6 diagnosis experience when they learn it's too late and
7 that if only their child had been born in another state,
8 they could've received a treatment that would've allowed
9 them to live a full and happy life.

10 A full and happy life, how does one define
11 that anyway? I can confidently say that anyone who
12 meets Ezra does not see Krabbe Disease. They see a
13 bright, social, and comedic little boy. We're met with
14 looks of disbelief when they learn of his disease and
15 realize that had he not been born in a different state
16 he would not be here today spreading his infectious joy.

17 I want to take the opportunity to not only
18 tell you what we see as Ezra's parents, but what any
19 person who's fortunate enough to meet him sees, a
20 vibrant first grader who has recently moved from
21 spending 60% of his time in general education to now
22 spending over 80% of his time in general education with
23 his grade-level peers. Ezra loves school and has
24 continued to excel.

25 He's a seven-year-old boy who loves riding

1 his bike, eating ice cream, and helping make dinner. A
2 boy with a lot of opinions, he's a pro at telling you
3 exactly how he's feeling whether a squeal of happiness
4 or giving us a hard no when he's done with something.
5 He has countless meaningful friendships at school and in
6 our community. If you're met him, you know he's never
7 met a stranger, but kids have a special magnetic draw to
8 him.

9 To see these friendships develop over the
10 years has been a true gift. Ezra's just a typical boy
11 who loves to play tag at recess, attend summer camps,
12 swim in the ocean, and tell silly jokes because laughter
13 is truly his best medicine. I'm not sure what more I
14 can say today to convey to you the critical and urgent
15 importance of adding Krabbe to the RUSP. A fun-loving,
16 joyful child leading a full life should be enough. And
17 there are so many others doing so, just like Ezra.

18 It's no debate that newborn screening for
19 Krabbe vastly improves the lives of children with this
20 devastating disease. I plea that you not only hear, but
21 truly listen to the stories of children living with this
22 disease after a transplant. In the end, I hope that you
23 recognize that all children, as well as their families,
24 are deserving of the same opportunity as Ezra, not only
25 to save their life, but to live the life they love.

1 Thank you for your time.

2 DR. CALONGE: Thank you, Carlita. Next, we
3 have Amy May, who's also online.

4 MS. MAY: My name is Amy May. I want to
5 give you a family perspective about Krabbe Disease.
6 It's a cruel disease that leads to horrific death if
7 left untreated. Dylan was the happiest of our three
8 sons until he was six months of age. At that time, he
9 started regressing in skills and we went on a diagnostic
10 odyssey. We were blindsided by the diagnosis of Krabbe.

11 We felt robbed of our healthy baby. We felt deceived by
12 the clean bill of health he was given with his newborn
13 screening results.

14 Krabbe could've been on the screening panel
15 in Tennessee, but it wasn't. We lost Dylan's chance to
16 live because he wasn't screened at birth. I'd like to
17 describe to you what it was like to have a child with
18 Krabbe Disease. Dylan survived until he was almost five
19 years old. We spent four years of our lives dedicated
20 to his care, but there was no hope for his survival.

21 Please picture your child or your grandchild
22 with these symptoms. He was a gorgeous, happy baby
23 until shortly after his six-month checkup and his first
24 symptoms were that he could no longer suck his thumb.
25 He started crying a lot and he did not sit up on time.

1 He was diagnosed with Krabbe Disease at eight months of
2 age. The disease progression happened slowly and
3 painfully over the next four years.

4 Dylan lost the ability to move his arms and
5 legs of his own accord. He lost his abilities to eat
6 solid foods. We spent five hours per day feeding him
7 thickened milk and pureed foods because he loved it. He
8 never spoke, he went blind. Those beautiful blue eyes
9 could not connect him to us or to the world. He had
10 seizures, he cried a lot, and we held him for a large
11 portion of the day to try to ease his pain and let him
12 know that he was loved.

13 Dylan died one cold January morning in 2009.
14 We had to put our son from our arms into the arms of an
15 employee at a funeral home, then we had to walk away.
16 We had to lower a child-size casket into the ground. No
17 one should have to do these things with a child. Dylan
18 could've lived if he'd been treated for Krabbe shortly
19 after birth like Carlita's son was.

20 Our other two sons and our adopted daughter
21 experienced this loss also. We've all dealt with
22 complicated mental health issues as a result of this
23 grief and complex drama. If you can save a child
24 through treatment after newborn screening, you can also
25 save the whole family.

1 Now, picture this, my husband and I are both
2 carriers of the disease. Our older two sons have been
3 tested and they're also carriers; therefore, Krabbe
4 Disease could affect one of our grandchildren. Can you
5 imagine going through this twice when you have the power
6 to prevent that? Screening for Krabbe Disease at birth
7 will save the lives of innocent children. I implore you
8 to add Krabbe Disease onto the RUSP. Thank you for
9 listening to a family perspective.

10 DR. CALONGE: Thank you, Amy. Next, we have
11 Kelly Danoy.

12 MS. DANOY: Good morning, Members. My name
13 is Kelly Danoy Bonacorsa. I'm joined today by my
14 husband, Mike Bonacorsa and our daughter, Sophia.
15 Sophia and I were here with you about a year ago in
16 November of 2022. It was seven months after she was
17 diagnosed with early infantile Krabbe Disease, a
18 diagnosis that came too late for early treatment and
19 intervention.

20 Tragically, now my child and my family are
21 trapped in a painful numbers game where Sophia suffers
22 needless because she was born in the wrong state. As an
23 active-duty family, we are required to move frequently
24 and these moves often come with costs, whether it be
25 emotional or financial; however, I never thought that

1 our military move to Virginia would come at a real-life
2 cost for optimal intervention and improved health
3 outcomes for our daughter.

4 I feel so unlucky that Sophia was born in
5 Virginia where she was unable to get newborn screening
6 for Krabbe Disease since the Commonwealth does not
7 screen for Krabbe, largely because the disease is not on
8 the Recommended Uniform Screening Panel.

9 If Sophia had been born in my husband's home
10 state of New York, she would've been screened for Krabbe
11 and able to benefit from early treatment and
12 intervention. Our state's newborn screening practices
13 impacted a timely diagnosis, worsening Sophia's
14 symptoms. She did not gain the expected developmental
15 skills, she lost skills previously achieved, she
16 suffered needlessly for months with irritability,
17 difficulty feeding, and gastrointestinal reflux.

18 She developed additional conditions,
19 including loss of motor skills, inability to feed,
20 difficulty seeing, stiffness and spasms in the muscles,
21 as well as seizures. She experienced extended pain and
22 suffering from countless medical errors in clinics and
23 long hospital stays. The damage to Sophia's brain
24 caused by the undiagnosed Krabbe Disease, made it
25 impossible for her to be offered a treatment or therapy

1 to treat the disease.

2 On account of this, Sophia is now a
3 medically complex child with severe limitations and
4 physical disabilities, all of which are permanent. She
5 depends on around the clock skilled care, special
6 equipment, and assistance with activities of daily
7 living because she cannot walk, sit, talk, or eat.

8 Newborn screening for Krabbe Disease sets
9 the condition for affected children and their families.

10 It gives children and families the opportunity to
11 receive early treatment and intervention to help stop
12 the progression of the disease. While Krabbe Disease is
13 rare, the ability to screen early is lifechanging for a
14 child and you will see evidence of it right here in this
15 room when you look at Sophia and you look at Owen, who's
16 here today.

17 Newborn screening for Krabbe Disease is the
18 kind of lifechanging opportunity that needs to be
19 available for all children and families. This
20 Committee's decision last year to not recommend Krabbe
21 Disease to the panel of conditions was a decision in
22 favor of a delayed diagnosis and children that suffer.
23 It's time to implement recommendations in favor of
24 newborn screening for Krabbe Disease. I urge the
25 Committee to support newborn screening for Krabbe.

1 Any further delay will only guarantee that
2 more children and their families suffer needlessly and
3 miss the opportunity to receive optimal benefit from
4 early treatment and intervention. More children in the
5 U.S. should not have to suffer and die to force change.

6 Thank you for your attention today. I truly value the
7 opportunity to be a voice for my daughter and my family,
8 and for other children and families impacted by Krabbe
9 Disease and newborn screening.

10 DR. CALONGE: Thank you, Kelly. Next,
11 Christin Webb.

12 MS. WEBB: Hello. I'm Christin Webb. I'm a
13 mother of two beautiful children, both affected by
14 Krabbe Disease, but with very different outcomes. Our
15 daughter, Mabry Kate, who's obviously not here with us,
16 was born in 2014 when Krabbe was not yet screened for in
17 our state of Tennessee. Because of this, my husband and
18 I spent an agonizing four months of her short ten months
19 and three weeks of life, in and out of doctors' offices
20 and hospitals desperately searching for a diagnosis,
21 much like you heard some of the other families describe
22 that was a lot like her life.

23 But we were desperately searching for her
24 diagnosis when a simple screening could've told us what
25 we needed to know from the start. It wasn't just

1 agonizing for us, but we cannot even begin to describe
2 the amount of pain that Mabry Kate was experiencing
3 during this diagnostic odyssey. Voting against Krabbe
4 to be added to the RUSP, not only robs children of their
5 lives, as it did Mabry Kate, but it also robs parents
6 like us of the right to obtaining crucial information
7 about the health of their newborn baby, as well as the
8 at autonomy to make the best decision for their family.

9 It's crucial that we put this life-or-death
10 knowledge in the hands of the parents. My husband I
11 would give anything to have had the opportunity to
12 decide what was best for our child. Had we had this
13 information to begin with, Mabry Kate's life would've
14 looked drastically different. In fact, it would've
15 looked a lot like her brother, Owen.

16 Owen also has Krabbe Disease. The
17 difference is it took his sister's suffering and death
18 to give him a chance for early diagnosis and lifesaving
19 treatment. He will be nine years old in March, nine.
20 His sister died before her first birthday. The
21 difference is so drastic. The treatment he received has
22 radically improved his life. With Mabry Kate, each day
23 we were scared of what Krabbe would steal from her next,
24 but with Owen we get to wonder what successes and
25 milestones he will reach.

1 We are here today, Owen, especially, to
2 hopefully help you see beyond labels and statistics on a
3 piece of paper. He's a vibrant, second grade little boy
4 who has participated in sports, such as T-ball, soccer,
5 and he loves golf and is a thrill seeker willing to ride
6 any roller coaster. He's tall enough to ride. He's
7 hilarious and loves to tell jokes and he's the local
8 celebrity at his school. Every student wants a high
9 five, a fist bump, or a hug every time they pass by him
10 in the hallway.

11 Yes, he uses a walker. Yes, he uses a
12 wheelchair. Yes, some things come hard for him, but
13 these things are not what defines his life. It's the
14 pure joy that radiates from within his beautiful heart,
15 mind, and soul, his infectious laugh, his determination
16 and fight he willingly puts forth each day, and the love
17 that he has to give through his extra tight hugs, and so
18 much more. That's what defines him. All of this has
19 been worth it, and every child born with Krabbe deserves
20 the same chance that Owen has had. Thank you.

21 DR. CALONGE: Thank you, Christin. Next, we
22 have Joanne Kurtzberg.

23 DR. KURTZBERG: Hi. My name is Joanne
24 Kurtzberg and I'm a pediatric transplant physician at
25 the Duke University School of Medicine. For the past

1 three decades, I've studied the treatment of patients
2 with leukodystrophies, lysosomal storage diseases and
3 inherited metabolic diseases with hematopoietic, also
4 known as blood stem cell transplantation. The goal of
5 this therapy is to replace the patient's blood and
6 immune system with donor cells producing normal enzyme,
7 which is missing in the patient. In addition,
8 enzyme-producing microglial cells are replaced in the
9 brain.

10 We know that this therapy corrects enzyme
11 levels in the blood and has variable penetration in the
12 central nervous system. After transplant, as long as
13 full engraftment is achieved, normal blood enzyme levels
14 are observed for life. In Krabbe Disease where both the
15 central and peripheral nervous systems are affected and
16 transplants rescues the central nervous system, but it's
17 less effective in the peripheral nervous system.

18 In addition, in infantile disease,
19 transplantation is most effective if performed in the
20 first three to six weeks of life. This both justifies
21 and challenges newborn screening for Krabbe Disease. As
22 you know, newborn screening for Krabbe Disease has
23 evolved over the past two decades thanks to the
24 outstanding and courageous work of Dr. Joe Orsini and
25 his team in the New York State Newborn Screening Lab,

1 Dr. Mike Gelb of the University of Washington, and Dr.
2 Dietrich Matern at the Mayo Clinic, the algorithm for
3 newborn screening for Krabbe Disease has been developed
4 and optimized.

5 Today the application before the Advisory
6 Council for Inheritable Disorders in Newborns and
7 Children to add Krabbe Disease to the RUSP reflects over
8 two decades of work which has allowed us to (1) define
9 the best testing algorithm for use in newborn screening
10 for Krabbe Disease, and (2) define the core disease to
11 target.

12 This is the third time Krabbe Disease has
13 come before this Council. The first nomination in 2009
14 was not approved because of problems with low
15 specificity of the testing algorithm, a high incidence
16 of false positive results, and disappointing outcomes of
17 transplant in the initial patients identified with
18 infantile Krabbe Disease in New York state.

19 By 2021, when Krabbe Disease was renominated
20 for the core condition of early and late infantile
21 disease, the testing algorithm using a GALC screening
22 assay followed by reflex or second tier testing of
23 psychosine at screen positive samples had been
24 implemented in some states and was shown to have high
25 sensitivity and specificity for diagnoses of infantile

1 Krabbe Disease, but lower specificity for later onset of
2 Krabbe Disease.

3 In addition, the benefits of transplants in
4 baby with infantile Krabbe Disease, that is the disease
5 with an onset of clinical symptoms in the first 12
6 months of life were published and shown to be
7 significantly improved over prior reports, although it
8 wasn't clear that this was appreciated by all of the
9 reviewers. However, identification of babies with a
10 risk for later onset Krabbe Disease was not as specific
11 and resulted in notification of risks to some families
12 where in reality a risk was not present. Concerns
13 related to these issues lead to a tie vote, which is a
14 negative outcome.

15 So, why are we back again? First, we know
16 that the diagnosis of Krabbe Disease through newborn
17 screening is the only way to rescue affected babies from
18 the pain, suffering, and early death associated with
19 infantile Krabbe Disease. It also prevents parents from
20 undergoing months of suffering with their babies while
21 experiencing long diagnostic odysseys only to learn of
22 the diagnosis at a time when it's too late for
23 treatment.

24 We know that transplant is beneficial for
25 these babies and strongly believe that parents are

1 entitled to learn about their baby's diagnosis and
2 options for treatment in the newborn period.

3 So, what is different about this nomination?

4 How did we improve over the prior ones? First, we
5 narrowed the core disease target to infantile Krabbe
6 Disease where the results of newborn screening are
7 clear. That is, the GALC is low and psychosine is
8 greater or equal to 10 nM. Second, we included in this
9 nomination the clear recommendation that the testing
10 algorithm should consist of a GALC screen followed by
11 reflex testing of psychosine in all screen positive
12 cases.

13 With this approach, the rare baby with
14 infantile Krabbe Disease can be diagnosed in the first
15 week of life and referred for consideration for a
16 transplant today and maybe gene therapy or other
17 therapies in the future.

18 I want to spend a minute dispelling myths
19 about transplant for infantile Krabbe Disease. First, I
20 know transplant is not the final answer and that it's
21 not perfect, but it dramatically improves the survival,
22 function, and quality of life outcomes of babies with
23 infantile Krabbe Disease. It's the first of what I
24 believe will be a series of steps leading to continuous
25 improvement of the effective therapies for this disease.

1 I know, as a hematologist/oncologist, that
2 if we had stopped treating children with acute
3 lymphoblastic leukemia 30 years ago after the first
4 drugs produced remissions only lasting three months, we
5 would not be curing 80% of children with ALL today.
6 Progress in the treatment of challenging diseases is
7 incremental, but it has to start in order to succeed.

8 Second, I know that no babies are
9 transplanted without evidence of an active, clinical
10 disease which is present on nerve physiologic and the
11 imaging studies of babies with infantile Krabbe Disease
12 in the first few weeks of life. Third, I know that the
13 preparative regime, high dose chemotherapy, does not
14 cause the peripheral neuropathy associated with Krabbe
15 Disease. I know this because I've transplanted many
16 other young infants for congenital and metabolic or
17 hematologic diseases using the same chemotherapy and
18 these children do not have peripheral neuropathy
19 post-transplant.

20 Lastly, I know that rapid referral for
21 evaluation and treatment of newborns with infantile
22 Krabbe Disease is possible if the proposed testing
23 algorithm and a perspective roadmap for rapid referral
24 and treatment is in place.

25 In summary, newborn screening for Krabbe

1 Disease saves lives. It also significantly improves the
2 quality of life for these babies and their families.
3 In the past seven years, 51 babies were born in states
4 who were not screening for Krabbe disease, and these 51
5 babies had no chance. We listen to the feedback from
6 the Committee and addressed your concerns. It's time for
7 you to vote in favor of adding infantile Krabbe Disease
8 to the RUSP to improve the lives of affected babies and
9 their families. Please vote to approve infantile
10 Krabbe Disease for addition to the RUSP today. Thank
11 you.

12 DR. CALONGE: Thank you, Joanne. I want to
13 thank all of our public commenters today. I especially
14 want to thank the parents who've come here or talked
15 with us online. This is important work that the
16 Committee does. I think recognizing the ramifications
17 of decision-making in terms of real people and real
18 families and real experiences is an important part of
19 the process for the Advisory Committee on Heritable
20 Disorders in Newborn and Children, so I thank you.

21 We're going to take a brief break, about 10
22 minutes. We'll start promptly at five 'til the hour,
23 and thanks again.

24 DR. CALONGE: All right, we're going to get
25 started again. Thank you. There was an additional

1 person who signed up, doing everything right for public
2 comment that somehow got dropped of the list, and for
3 that I apologize and to make up for our error, I wonder
4 if we could start with a public comment from Timothy
5 Miller, who is online.

6 DR. MILLER: Hello and thank you. I'm
7 hoping to try to get our voice out there as well, but
8 I'd like to thank the Advisory Committee for providing
9 both myself and Forged Biologics the time to voice our
10 support for the adoption of Krabbe Disease to the
11 Recommended Uniform Screening Panel. Now, my name is
12 Tim Miller. I have a Ph.D. focused on gene therapy and
13 I'm the CEO of Forged Biologics, the only biotech
14 company advancing a gene therapy for patients with
15 Krabbe Disease.

16 I've been developing gene therapies since
17 the late 1990s and have worked in both academia and
18 industry for over 25 years. As a side note, I'm
19 including gene therapies for the MPS disorders and fully
20 support their addition to the Recommended Newborn
21 Screening Panel as well.

22 Today I wanted to just add my voice the
23 symphony of voices urging you to recognize the impact
24 your vote holds for our community and the potential
25 future of patients with Krabbe Disease. Timely

1 identification through newborn screening is vital for
2 the success of not only intervention with transplant,
3 but also for the potential of newly developing gene
4 therapies as a potential future treatment option. It's
5 not just a recommendation. It's a necessity.

6 There's plenty of published evidence
7 demonstrating that HSCT demonstrates efficacy in Krabbe
8 patients and their lives after they've been diagnosed as
9 newborns and certainly outweighs many of the risks
10 compared to not receiving any treatment at all, as
11 clearly evidenced by Owen before you today and the
12 potential for that help the 51 babies mentioned by Dr.
13 Kurtzberg.

14 If the infantile Krabbe population is left
15 without access to newborn screening, this will
16 undoubtedly slow the progression of any new treatments
17 and as irreversible damage will have already occurred by
18 the time of a symptomatic diagnosis. Early
19 identification equals early intervention and like most
20 therapies, particularly those gene and cell therapies,
21 the earlier that we can treat the earlier we can beat
22 this devastating disease.

23 As outlined by the Committee's bylaws, the
24 overarching goal of newborn screening is to improve the
25 health-related quality of life of newborns, and I would

1 encourage you in your role as Committee Members and even
2 parents to evaluate the benefits to those being screened
3 or with the potential to be screened.

4 Yesterday we released news that five
5 infantile Krabbe patients that have been treated with
6 our novel gene therapy, FDX101, were following a
7 transplant. It's important to note that all of those
8 children were identified through newborn screening.
9 These children are walking, running, climbing, speaking,
10 a milestone that children with Krabbe Disease are
11 typically robbed of and enhancing the benefits of
12 transplant.

13 We believe that this continues to show the
14 benefit of screening every baby for this disease. Our
15 job is to help to provide hope for these families
16 affected by this horrifying disease. And again, I would
17 just like to urge the Committee to act decisively this
18 time in support of addition to the RUSP and embrace the
19 transformative potential it holds for countless future
20 families. Thank you for your time and for recognizing
21 the gravity of this matter. I appreciate it.

22 DR. CALONGE: Thank you, Tim. At this time,
23 I've become aware that there's a question one of the
24 panel members would like to ask of Dr. Kurtzberg if
25 she's willing to come back up to the microphone.

1 Thanks, Joanne. Scott.

2 DR. MCCANDLESS: Thank you, Dr. Kurtzberg.
3 I wondered if you could comment on the availability in
4 your clinical experience as everyone agrees you're the
5 expert in this area. In your clinical experience, are
6 there potential challenges in availability for donors
7 for HSCT for patients from a non-white background. And
8 just, if you could, recognize that this is an
9 off-the-cuff question and that you don't have access to
10 all of your data, just your thoughts on that.

11 DR. KURTZBERG: Sure. So, full disclosure,
12 I'm a cord blood banker and transplanter and I run a
13 public FDA licensed cord blood bank, which has really
14 become the source for rapid donor procurement for people
15 who are non-Caucasian and need a quick transplant. It
16 also causes less Graft-versus-host disease and
17 fortunately doesn't have to match as closely as adult
18 donors.

19 And in the setting of Krabbe or other
20 leukodystrophies, monocytes from cord blood and
21 macrophages from cord blood actually have better
22 engraftment in the brain than from adult donors, so
23 there are many advantages to cord blood. You can do a
24 search to the NMDP in like 10 minutes and identify a
25 roster of potential cord blood donors and because you

1 can go as low as what we call a four of six or eight of
2 10 match, there are always donors regardless of race or
3 ethnicity.

4 Then working up those donors takes five days
5 and so a donor can actually be shipped -- because it's
6 an off-the-shelf cryo-preserved product, it can be
7 shipped to the transplant center within a week from
8 start of the search to the end of the process. Even in
9 Krabbe, where we recommend you screen for GALC level in
10 the cord blood unit, which is possible, so you don't
11 pick a carrier donor, it's that short an amount of time
12 which is much shorter than the child actually getting to
13 a transplant center.

14 But to your main question, 95+% of
15 individuals, including babies with Krabbe, who are of
16 non-Caucasian ethnicity or ancestry, can find a cord
17 blood donor.

18 DR. MCCANDLESS: Thank you. I appreciate
19 that.

20 DR. CALONGE: Thank you, Joanne. Before we
21 start our series of presentations on Krabbe, I just
22 wanted to provide a couple of reminders to Committee
23 Members and people in the room about the vote today.
24 For the purpose of the vote today, the Committee will be
25 using our current decision matrix tool rather than what

1 we've talked about, thinking about changes and
2 modifications going forward.

3 Our approach to evaluating the evidence uses
4 a decision matrix that assesses net benefit of
5 screening, net benefit of screening all newborns. The
6 certainty of the evidence regarding the net benefit, the
7 feasibility of implementing a comprehensive program of
8 screening for the condition, and the readiness of the
9 public health programs to implement such a program of
10 expanded screening, including an assessment of the costs
11 to the newborn screening programs to expand screening
12 for a condition under review. All Committee Members
13 have been given the current decision matrix, as well as
14 guidance to the use of the tool.

15
16 **Newborn Screening for Krabbe Disease: An Expedited**
17 **Evidence-Based Review**

18 With that reminder, we're now going to hear
19 from Dr. Kemper and Dr. Lisa Prosser on the Krabbe
20 evidence review. Dr. Kemper is the lead of the Evidence
21 Review Group at Nationwide Children's Hospital and
22 professor pediatrics at the Ohio State University
23 College of Medicine. Dr. Prosser is the Marilyn Fisher
24 Blanche Research professor of Pediatrics and Director of
25 the Susan B. Meister Child Health Evaluation and

1 Research Center. Dr. Prosser also holds an adjunct
2 faculty appointment at the Harvard School of Public
3 Health.

4 Her research focuses on measuring the value of
5 childhood health interventions, using methods of
6 decisions, sciences, and economics. She's currently a
7 member of the Evidence-Based Review Group for the
8 Advisory Committee and is a member of the Advisory
9 Committee on Immunization Practices Zoster Working
10 Group. With that, I'm going to turn things over to Dr.
11 Kemper.

12 DR. KEMPER: Thank you very much, Dr.
13 Calonge. Dr. Prosser is going to be presenting remotely
14 towards the end of the presentation and I'm going to go
15 ahead and get things started now. I do want to begin by
16 acknowledging that there is no question that infantile
17 Krabbe Disease is a really terrible disorder.

18 The presentation that I'm going to give
19 today is going to be relatively brief in that we've
20 presented at the last meeting extensively on issues of
21 Krabbe Disease. This is our first expedited evidence
22 review where we're really going to focus on the change
23 in the nomination and then present the population
24 modeling that Dr. Prosser does. There is going to be no
25 public health system impact assessment that's going to

1 be presented today.

2 First of all, I want to acknowledge and
3 thank members of the Evidence Review Group, but I do
4 really want to make sure that I express our gratitude to
5 the Technical Expert Panel members, many of whom are
6 sitting over there in the front row. We certainly
7 couldn't do the work that we do without their input and
8 the work that they do to help us understand the complex
9 issues that we're assessing.

10 So, let's talk first a little bit about the
11 nomination. So, with this revised nominated condition,
12 the target condition to be detected is infantile Krabbe
13 Disease. As you all know, and as we talked about this
14 morning, it's associated with significant and
15 progressive neurologic impairment by 12 months after
16 birth with death in early childhood without targeted
17 treatment. In this case, we're going to be talking
18 about HSCT or the transplantation.

19 The goal with this new nominated condition
20 is to again focus on infantile Krabbe Disease and not
21 later onset Krabbe Disease, which for the purpose of
22 this evaluation is really everything that has onset
23 after 12 months after birth. Screening is nominated as
24 a two-tiered process. The first tier is looking for low
25 galactocerebrosidase or GALC enzyme activity, and for

1 those that are found to have low GALC enzyme activity
2 the second-tier test is psychosine and 10 or more is
3 considered to be positive.

4 One question that I've been asked a couple
5 of times and I'll just put in here now is that the
6 psychosine test is complicated enough that it simply
7 can't be done as the first-tier test and that's why
8 there are these two tiers, but again, both of these can
9 be done off the same dry blood spot.

10 So, right now there's variation in how
11 newborn screening for Krabbe Disease occurs across the
12 states to use it, so for example, some states use
13 molecular testing. Most states, but not all, use
14 psychosine as a second-tier tests, but right now the
15 states that are using psychosine as a second-tier test
16 have a threshold of one or two, between one and two to
17 consider it to be positive, not the 10 that we're going
18 to be talking about this morning.

19 So, I do want to highlight, first of all,
20 the sources of new evidence that have emerged since our
21 most recent presentation. So I'll be talking about
22 information that we've learned from the state newborn
23 screening programs that include dry blood spot.

24 Psychosine is the second-tier test. These were surveys
25 and conversations that we had with those newborn

1 screening programs, and we'll be talking about the
2 accuracy, considering psychosine thresholds of 10 or
3 more. We'll also be talking about the number of infants
4 with infantile Krabbe Disease that would be identified
5 that way.

6 I'm going to be talking about the published
7 surveys of families regarding attitudes about Krabbe
8 Disease newborn screening, a published study of health
9 disparities related to Krabbe Disease identification and
10 then I'm going to be spending a significant amount of
11 time talking about an abstract that's going to be
12 presented at an international meeting, actually the
13 international meeting is next week, so you're getting
14 the first public look at this information about outcomes
15 for infantile Krabbe Disease who've received
16 transplantation around one month of life.

17 So, first, let's begin by talking about
18 newborn screening clinical validity. It's important to
19 recognize that many of the cases of infantile Krabbe
20 Disease actually have psychosine levels that are far
21 above the threshold of 10 and there's likely to be a
22 very low risk of infantile Krabbe Disease with newborn
23 dry blood spots psychosines below 10.

24 There are some reports of psychosine less
25 than 10 in residual dry blood spots, but the thought is

1 the reason that these levels were below 10 is because of
2 lack of stability of the psychosine. There's also one
3 case, and we talked about this case in-depth last time
4 of a child that had an initial dry blood spot psychosine
5 concentration of around one, who was later diagnosed
6 with infantile Krabbe Disease. When you read the case
7 report and talk to the people who were involved in this
8 patient's care, there are some thought that the atypical
9 course raises concern about whether the subject met the
10 clinical criteria for infantile Krabbe Disease or there
11 was something else going on. But in any case, there was
12 this one case that's out there.

13 There is no case of infantile Krabbe Disease
14 with psychosine below 10 that's been identified by the
15 newborn screening programs, and again, I'm going to be
16 showing you those data in a couple minutes. There's
17 also a low risk of identifying later onset Krabbe
18 Disease with a newborn dry blood spot with psychosine of
19 10 or more.

20 So, there was one article that described 11
21 cases of late onset Krabbe Disease and of those ones had
22 a dry blood spot psychosine above 10. It was 12 at 460
23 days, so certainly outside of the newborn period, but
24 the others had psychosine concentrations between about
25 two and close to, but below 10. Again, importantly,

1 there's no case of later onset Krabbe Disease with
2 psychosine 10 or more that's been identified by the
3 newborn screening programs.

4 So, before I drill into the information that
5 we've gotten from the newborn screening programs, again,
6 I mentioned before that there was some variation in
7 screening and that extends to first-tier screening as
8 well and the use of molecular testing. There are two
9 state newborn screening programs that do not include
10 psychosine as a second-tier test, Ohio, and New Jersey.

11 And there are nine state newborn screening programs
12 that do include psychosine as second-tier screening
13 test, and as I mentioned before, their threshold is
14 between one and two.

15 Now, they've identified 11 cases of
16 infantile Krabbe Disease all with psychosine of 10 or
17 more. I'm going to be showing you this and you know
18 what's funny as I present this the number 11 appears a
19 bunch of times referring to different cases, different
20 groups of 11, and I'm going to try to do the best I can
21 to make sure when we talk about 11 that we understand
22 where these 11 cases came from.

23 And I apologize for how small this is, but I
24 really do want to have it all on one screen. What you
25 can see is a list of the individual newborn screening

1 programs as well as the total, which it's totally
2 impossible for me to read because my words show as I
3 look at it.

4 We asked these newborn screening programs to
5 share with us their screening data from the time they
6 began using psychosine as a second-tier test to as far
7 as close to the present as possible and you can see
8 because of that there is variations in the days that are
9 available and the number of infants that have been
10 screened, but overall, there have been about 3.5 million
11 infants that have been screened for which we have
12 information.

13 The next column over is the number infants
14 who had a positive first-tier screen that would then go
15 on to needing to have psychosine as the second-tier
16 test, which ranges from - the low that was in New York
17 of 6.6 per 100,000 screened up to in Missouri of about
18 172 per 100,000 screened. So again, I want you to pay
19 attention to the denominator that the number with a
20 positive first-tier screen is a rare event.

21 Now, the next column that I've just added in
22 shows the number of infants who had a positive second-
23 tier test, that being psychosine, with a setting to a
24 threshold of 10 or more. Again, remember these newborn
25 screening programs use a lower number, so I asked them

1 to tell me how many babies would have been positive with
2 a threshold of 10 or more and you can see that for a few
3 of the newborn screening programs there was actually
4 none and the numbers ranged from one to three in total
5 and it's on the order of like 1 or 2% of the infants who
6 had a positive first-tier screening test.

7 Now, how many of those with a threshold of
8 10 or more were diagnosed with Krabbe Disease and what's
9 the overall rate based on the population screens.
10 That's what this column answers and you can see that,
11 overall, it's about 3.1 per million infants screened.
12 I'd like to point out in Missouri there were three cases
13 that had a positive second-tier of psychosine of 10 or
14 more, but only one case diagnosed infantile Krabbe
15 Disease. There was a particular issue with the
16 laboratory. That's been resolved. I'm going to be
17 talking about that further, but in all other cases it
18 was 1:1. All infants who had a threshold of 10 or more
19 were diagnosed with infantile Krabbe Disease.

20 I'm going to be talking about the outcomes
21 of these cases that were identified. I do want to point
22 out that the Illinois newborn screening program was not
23 able to provide that information directly to us, but I
24 was able to reach out to a treatment center in the state
25 and was able to find out about all but one of the cases

1 that were diagnosed with infantile Krabbe Disease, so
2 just store that for later when we talk about them.

3 Now, one of the concerns from the previous
4 presentation and consideration about adding Krabbe
5 Disease newborn screening was about the issue of
6 identification of later onset Krabbe Disease. So, what
7 this column shows is if you were to set the psychosine
8 threshold at 10 or more how many cases of later onset
9 Krabbe Disease would you not have picked up. So, this
10 is just showing that setting the threshold to 10
11 eliminates the identification of these later onset cases
12 and it shows you that the number ranges from about 3.5
13 per million to about 36.8 per million infants screened.

14 So, again, setting a threshold to 10 does seem to pick
15 up all the cases of infantile Krabbe Disease and cuts
16 out detection of later onset Krabbe Disease.

17 I do want to highlight, though, that later
18 onset Krabbe Disease doesn't mean Krabbe Disease that
19 presents after a decade or more of life. This could be
20 infants who would go onto to develop significant
21 symptoms after a year of age, so again, we're really
22 targeting infantile Krabbe Disease that is significant
23 signs and symptoms and progression in the first year of
24 life.

25 Now that I've overwhelmed you with that

1 complicated table, I do want to just pull out what I
2 think are the important lessons. Infantile Krabbe
3 Disease case detection with second-tier psychosine set
4 at a threshold of 10, based on the information that the
5 states provided, would lead to identification of about
6 3.1 million cases per million infants screened.

7 I mentioned before the issue of false
8 positives. What happened there was that there were two
9 simultaneously submitted samples that had low GALC
10 enzyme activity that were contaminated with a psychosine
11 standard, so there was a high index of suspicion at the
12 time that they were false positives. Out of an
13 abundance of caution, those two infants were
14 hospitalized and psychosine was repeated at another
15 laboratory as fast as they could do it and that was
16 normal, and it led to a change in the laboratory process
17 to keep that contamination from happening again. I
18 think this is such an outlier that I think it would be
19 unlikely to happen again and in the modeling and further
20 analysis we discounted those false positives.

21 In terms of false negative second-tier
22 screens, none of the newborn screening programs reported
23 a case that would've been missed with the psychosine
24 concentration of 10 or more. Now, there is one state
25 that identified twins with psychosine concentrations of

1 around five, who received transplants around 100 days
2 after birth, but they did not classify those as having
3 infantile Krabbe Disease and the thought is that they
4 likely would not have gone to develop significant signs
5 and symptoms until after the first year of life. This
6 does highlight some variability in terms of how
7 transplant centers operate, but there was consensus from
8 reading the publications about these infants, as well as
9 discussing this with the Technical Expert Panel that
10 they really would not be classified as having infantile
11 Krabbe Disease.

12 There was no cases of later onset Krabbe
13 Disease that would've been identified setting the
14 threshold at 10 and moving the threshold for second-tier
15 psychosine testing to 10 for diagnostic referral would
16 eliminate the detection of about 9.3 cases of later
17 onset Krabbe Disease per million infants screened.

18 Now, I want to transition and talk about the
19 impact of detection of newborn screening compared with
20 usual case detection. First, I want to talk about these
21 11 subjects, so these are the 11 that came from the
22 newborn screening programs. As I mentioned, we don't
23 have any information about one of the cases. So, for
24 one out of the 11, we don't have follow-up information
25 available. Of the remaining 10, three declined

1 subsequent transplant and we just don't have any
2 information about what the thinking was in terms of why
3 they declined it, but it's important to recognize that
4 some families do decide not to proceed with transplant
5 for their child.

6 Of the remaining seven, six out of the seven
7 who receive the transplant, and they received
8 transplants between 24 and 48 days, are alive to at
9 least two years. And in terms of their age, the median
10 is two and a half years with a range from two to five
11 years. Of these children, one child did receive a
12 second transplant, one also received gene therapy and
13 one is planning to go for gene therapy. And again, this
14 is the level of granularity of the detail.

15 I should have mentioned before that I'm
16 purposefully not talking about the particular states
17 that these infants came from because it's such a rare
18 disease, I feel like it's important for us to do
19 everything we can do to protect their privacy. There
20 was one out of seven of the children who proceeded with
21 transplant who died around seven months of age due to
22 graft-versus-host disease.

23 Now, Dr. Kurtzberg led a team that's going
24 to be presenting at the WORLD Symposium of lysosomal
25 disorders, I think that's what the name of the meeting

1 is, next week and she was kind enough to share her
2 poster with our team ahead of time and answer about
3 5,000 emails, so Dr. Kurtzberg, thank you for that.

4 That is an abstract that describes six cases
5 of infantile Krabbe Disease who received a transplant
6 between three to six weeks of age, so five of the six
7 cases were reported in an article that we discussed
8 previously, and they were recruited between 2016 and
9 2019. There was one additional case that was added.
10 These cases were consecutively identified based on
11 referral for transplant.

12 Of these cases that we're going to be
13 talking about, two out of the 11 cases that I talked
14 about before that were identified by the states are
15 included within this study that I'm going to be talking
16 about. The outcomes include the final and adaptive
17 behavior skills, third edition. For those of you who
18 don't know, the Vineland is a well-accepted,
19 high-quality assessment of development that's based on a
20 parent report of how the child is doing. It is broken
21 down into four adaptive domains of communication, daily
22 living, socialization, and motor skills, as well as an
23 overall composite.

24 They also assess the pediatric quality of
25 life inventory. The quality-of-life data really matches

1 up with the Vineland's and to allow us to really focus
2 on the key issues. That's what I'm going to be talking
3 about this morning. So, who are these six cases I'm
4 presenting here? The middle column is the age at
5 transplant, the column on the far right is the age at
6 which this neurodevelopmental assessment was done. It's
7 sorted by age from youngest to oldest, so 2.2 years
8 through 7.1 years and then you can see their initial
9 psychosine level. I'd like to point out that these
10 psychosine levels are far above 10.

11 So, these are the Vineland scores for the
12 individuals again from two to seven. This is the
13 composite and I added in these stippled lines showing,
14 according to whoever it is that makes the Vineland's,
15 how they classify different categories. But the
16 composite, I think, doesn't really tell the whole story.

17 I think it's important to look at the
18 domains. So again, you can communication, daily living,
19 socialization, and motor skills. So, it's really the
20 motor skills that are far below the other scores and you
21 can see that these subjects are generally doing better
22 in terms of communication, daily living, and
23 socialization. The poster also includes a Kaplan-Meier
24 survival curve, and it includes seven cases, so these
25 six cases, plus the other one that couldn't be included

1 for the Vineland's.

2 I want to take a little bit of time just to
3 orient everyone around the Kaplan-Meier survival curve.

4 So, the orange line shows 51 individuals with infantile
5 Krabbe Disease born in states without newborn screening,
6 so these are infants who developed signs and symptoms
7 and past the period at which transplantation would be an
8 option and you can see the steep decline in the first
9 two years of life and then tail out a little bit longer.

10 That survival curve really matches up with our previous
11 presentation in terms of the expectation of early
12 mortality.

13 The blue line across the top shows the
14 survival of the infants who were included in the study,
15 so these are infants who received transplants around one
16 month of age. The nuance that I want to point out here
17 is that that represents survival from infants who
18 received transplant and survive the transplant, so it's
19 not necessarily what you would expect from newborn
20 screening, right? So, with newborn screening there are
21 going to be some families that are going to choose not
22 to have transplants and that would be affected by risk
23 of mortality that would follow along the orange line
24 that's there. And then, there's the risk of mortality
25 related to transplant that we typically talk about being

1 around 10% or so.

2 In either case, though, you can see that
3 transplantation for infantile Krabbe Disease during the
4 time period that we have certainly decreases the risk of
5 mortality compared to what typically happens in states
6 without newborn screening.

7 So, there was a study on family perspective.
8 I did not highlight this at the last meeting, although
9 we knew of the study. This was a survey of 170
10 respondents who were affected by Krabbe Disease, whether
11 it be a parent or other caregiver that was done through
12 an Internet-based study and other direct outreach. And
13 essentially, everybody felt that Krabbe Disease newborn
14 screening should be implemented in any state.

15 This doesn't include the perspectives of
16 those not directly impacted by Krabbe Disease, so the
17 general public, and it's hard to figure out exactly what
18 the response rate was and who's responding and who's not
19 responding, as is typical for Internet-based studies
20 that are shared across social media.

21 There was also another study that dealt with
22 issues of health disparities. It suggests that Krabbe
23 Disease newborn screening reduces disparities by race
24 and ethnicity in detection and treatment. From a pure
25 evidence standpoint, though, we rated this as low

1 quality based on methodologic limitations. First of
2 all, it didn't focus on infantile Krabbe Disease. The
3 study, in terms of the timing of symptoms and treatment,
4 excluded the major Krabbe Disease treatment centers.
5 There's a risk of misclassification of race and
6 ethnicity and it's not really possible to determine the
7 timing of symptom onset and diagnosis looking at
8 hospital administrative claims because normally it's not
9 the kind of thing that occurs in there. There was no
10 record review, so I do want to make sure that the
11 Committee knows that this report is out there and we
12 describe the findings more in depth in the report that's
13 been previously given to you, but it was just we don't
14 want to drill into the particular findings just because
15 we're concerned about quality. Again, it does not mean
16 that newborn screening doesn't reduce health
17 disparities. In general, that's a great function of
18 newborn screening, but for this particular study we
19 didn't think it was reliable enough.

20 So, with that, now I'm going to transition
21 to my friend and colleague, Dr. Lisa Prosser, who's
22 going to be talking about projecting the population
23 health outcomes. This is where we model what would be
24 expected if all of the newborns were screened for
25 infantile Krabbe Disease each year.

1 DR. PROSSER: Thanks, Dr. Kemper. As in
2 past condition reviews, we're using a decision analysis
3 to model the population health outcomes for newborn
4 screening for Krabbe Disease compared with critical
5 presentation. And just a couple of slides on background
6 so the science underlying the modeling is using decision
7 analysis, which is the systematic approach to
8 decision-making under conditions of uncertainty. And
9 our goal here is to project ranges and so when I go
10 through the slides of modeling outcomes, again, I would
11 encourage you to focus on the range as opposed to our
12 best-case estimates.

13 Decision analysis allows the decisionmaker
14 to identify which alternative is expected to yield on
15 the greater health benefit, but importantly, it can also
16 identify the key perimeters and assumptions that are
17 driving the results. So, for this analysis, similar to
18 the previous analysis of newborn screening for Krabbe
19 Disease that was presented last summer, the objective is
20 to project population level health outcomes for an
21 annual U.S. newborn cohort of 3.65 million, health
22 outcomes, both for newborn screening and for clinical
23 presentation on the newborn screening side, of the
24 focuses on screening outcomes, numbers of positive
25 screens, identified cases of infantile Krabbe Disease,

1 receipt of transplant and transplant outcomes, and
2 mortality at 2.5 years of life.

3 For clinical presentation, I will be
4 projecting identified cases of Krabbe Disease, both
5 infantile and later onset, again, projecting the receipt
6 of transplant and transplant outcomes within the first
7 year of life and mortality at 2.5 years.

8 Now, this slide shows a simplified schematic
9 of the simulation model, so I'll just walk quickly
10 through the top branch here. This is the same structure
11 of the model that was used for the previous analysis of
12 Krabbe Disease with the exception that this has now been
13 adjusted to reflect the revised nomination protocol, so
14 you'll see the grayed out boxes in the middle of the
15 screen and so with the revised protocol submitted in
16 this nomination with the criterion of psychosine 10 or
17 greater that once a baby has screened positive and is
18 referred for diagnostic evaluation there's a probability
19 that being confirmed as infantile Krabbe Disease and
20 referred for transplant evaluation or will be classified
21 as not infantile Krabbe Disease. There could be follow-
22 up pending or declined follow-up.

23 We'll be modeling essentially the cases that
24 Dr. Kemper has just presented from the most updated
25 newborn screening program data with the 11 cases in the

1 model. Again, just walking through the top of the
2 model, if a baby is confirmed and recommended for
3 transplant, they could either receive transplant or not,
4 and typically that would be a family decision. If they
5 receive a transplant, we're modeling 100 days survival
6 from transplant-related complications or not and then
7 whether or not the baby survives up to the 2.5 years of
8 life.

9 On the clinical presentation slide, the
10 simulation model projects a number of individuals, again
11 within a 3.65 million annual newborn cohort with Krabbe
12 Disease or without Krabbe Disease. If they're
13 identified with Krabbe Disease, what the proportion has
14 infantile Krabbe Disease of onset within the first year
15 of life compared to later onset and again, similarly,
16 whether or not those babies would be likely to receive
17 transplant or not, and again, the same set of outcomes
18 following transplant.

19 So, I'm not going to go through the next two
20 slides in detail because most of the perimeters into the
21 model have not been revised since the last analysis from
22 last summer, but I will walk through where we have
23 changed assumptions. So, highlighted in blue at the
24 top, we've revised the probability of screening positive
25 and referral for diagnostic evaluation to match the data

1 that Dr. Kemper just presented, roughly 11 and about 3.1
2 million since that's the number of babies that have been
3 screened so far, collectively, across all of those
4 screening programs, and then the proportion here that
5 were referred for diagnostic evaluation at the top of
6 the screen. The rest of the perimeter inputs remain
7 unchanged from the last analysis and were based on
8 published data and expert opinion as well as primary
9 data from state newborn screening programs.

10 On the clinical presentation side there has
11 been a revision on this side of the model to update the
12 projected number of infantile Krabbe Disease cases
13 expected to be observed for clinical identification to
14 identical to the incidents of infantile Krabbe Disease
15 derived from the newborn screening program data.

16 And I just want to make a couple of comments
17 on this. That in the previous model clinical
18 identification estimates were based on historical
19 published data, differences in timing of identification,
20 definition of the phenotypes of Krabbe Disease and study
21 populations and some likely contributors to the
22 differences between these historical published estimates
23 and the lower observed incidents from newborn screening
24 programs.

25 But given that more than three million

1 infants have been screened, collectively, across the
2 newborn screening programs that are in place right now,
3 the observed incidents are likely a more accurate
4 estimate going forward. But as with many conditions
5 there is often and will continue to be uncertainty
6 around these numbers, given the rare nature of these
7 conditions. Again, these have not changed since the
8 previous modeling analysis and are based on published
9 data.

10 So, this slide shows the projected outcomes
11 using the revised nomination screening algorithm. So,
12 for a newborn screening cohort of 3.65 million newborns,
13 we would expect to see a most likely number of cases of
14 11.3 with a range of 5.6 to 20.2 is expected based on
15 the current experience that all of those would be
16 identified as infantile Krabbe Disease and referred for
17 consideration for transplant.

18 In the base case, zero projected for not
19 infantile and for false negative, but again, keep in
20 mind that there's a range around those, given the small
21 numbers of these conditions.

22 This slide shows projected base case and
23 ranges for outcomes under clinical presentation. Here
24 the projected number of cases -- and again, this is
25 considering an annual incidence, but this is really the

1 lifetime incidence for an annual newborn cohort, so we
2 expect to project 24.2 cases of Krabbe Disease of which
3 could be identified at any time over the lifetime. Of
4 those, 11.3 are expected to be infantile Krabbe Disease
5 cases with a range of four to 23 and 12.9
6 post-infantile, in the absence of newborn screening,
7 would present following the first year of life.

8 This slide just combines those two slides to
9 present the outcomes from newborn screening at the top
10 with 11.3 cases compared to clinical presentation at the
11 bottom and highlighting that those are projected to be
12 similar.

13 So, I'm going to take just a moment to walk
14 through this slide in detail since this provides the
15 projected outcomes at 2.5 years of age for newborn
16 screening, using the revised nomination algorithm
17 compared with clinical presentation. So, in the middle
18 column labeled "Newborn Screening," 9.9 babies, so a
19 portion of those that were identified with infantile
20 Krabbe and recommended for transplant consideration
21 actually would be expected to receive transplant, again,
22 with a range of 3.5 to 19.9. Of those, one would be
23 expected to die within 100 days of the transplant, 8.9
24 would be expected to survive.

25 And just skipping to the bottom, so those

1 that would have died from Krabbe Disease, so that is of
2 those that did not receive transplant, the 1.4 that did
3 not receive transplant, so resulting at total across
4 those two categories who are projected to have died
5 either by complications of transplant or as a result of
6 Krabbe Disease by 30 months of 2.1.

7 Compared to clinical presentation, again,
8 starting with a cohort of 11.3 cases of infantile Krabbe
9 Disease, only 1.1 of those would be projected to receive
10 transplant by one year of age. Of those, 0.1 are
11 expected to die from complications, one would be
12 expected to survive, again, with ranges around those
13 projections, and 10.2 would be expected to not receive
14 transplant by one year age of the original 11.3 cohort.

15 Of those that did not receive transplant, 7.8 are
16 expected to have died from the condition by age 30
17 months, and so the total across those two groups is 7.9.

18 So, in terms of difference, that's shown in
19 the last column, 8.8 additional cases would be expected
20 to receive transplants within the first year of life,
21 and again, a range of 3.3 to 16.6. And then skipping to
22 the very bottom with almost six deaths averted by the
23 age of 30 months, again, with a range of 0.5 to 10.5.

24 So, that is the summary of the modeling
25 outcomes, so I'll turn it back over to Dr. Kemper.

1 DR. KEMPER: Great. Thank you very much. Thank
2 you, Dr. Prosser, for that great presentation and I just
3 want to highlight too that you see these wide ranges and
4 that reflects small numbers and uncertainty, but it's
5 really important to focus on the ranges, as Dr. Prosser
6 mentioned.

7 So, these are some of the important
8 references to everything that's in the report that the
9 Committee is given. With that, I'd like to end and open
10 things up for questions from the Advisory Committee.

11 **Committee Discussion**

12 DR. CALONGE: Thank you, Dr. Kemper. Thank
13 you, Dr. Prosser. Thank you for pointing out the wide
14 confidence intervals. Let me open it up first, please,
15 to questions from Committee Members. And if you're
16 online, please remember to use the raised hand function.
17 Michele.

18 DR. CAGGANA: Thanks for that overview, Lisa
19 and Alex. I just had a quick question about the two
20 false negative cases. They were not picked up via
21 newborn screening, but became evident via clinical
22 presentation?

23 DR. KEMPER: Are you talking about the two
24 false positives?

25 DR. CAGGANA: The twins.

1 DR. KEMPBER: The twins? So, they were
2 picked up by screening and had psychosine levels of five
3 and so they were below the threshold to 10. So, if the
4 threshold is moved to 10, then obviously those twins
5 wouldn't be picked up by screening. The question that
6 we really focused in on is whether or not those children
7 had infantile Krabbe Disease because if they had
8 infantile Krabbe Disease then that would be false
9 negatives with a threshold of 10, but the consensus was
10 that these were really later onset cases that got
11 transplanted earlier. And again, this gets into the
12 nuance of the terminology around Krabbe Disease as well,
13 but I think the key thing to recognize is that those two
14 infants were transplanted very early on before the
15 development of signs and symptoms associated with Krabbe
16 Disease and that some wouldn't really classify them as
17 infantile Krabbe Disease. And there was a discussion
18 around whether or not some people would've even
19 transplanted them at that age or would've waited until
20 longer.

21 DR. CAGGANA: Okay, technically, they
22 weren't false negatives from the newborn screening.

23 DR. KEMPER: They were cases that wouldn't
24 be picked up by 10, but unlikely to be infantile Krabbe
25 Disease.

1 DR. CAGGANA: Correct. Okay, thank you very
2 much for the clarification.

3 DR. CALONGE: Ash, online.

4 DR. LAL: Thank you again for the
5 presentation and the outcomes. So, I think there are
6 two ways of presenting the data and so one is the table
7 you were shown in reduction mortality based on the
8 newborn screening program, and including every infant
9 that tested positive, whether they were ones for follow-
10 ups and refused treatment and there was a mortality that
11 was transplant related.

12 When you look at the Kaplan-Meier survival
13 curve, so the overall benefit, just from the raw numbers
14 from total cases and defining newborn screening is
15 somewhere in the mid-sixties, leaving aside the ranges.

16 I think maybe we could just talk about the means at the
17 moment. The survival curve -- and again, you have to be
18 just cautious that these are small numbers and early
19 results, suggest that mortality from Krabbe is
20 exponentially eliminated by transplant.

21 The question really is how do you reconcile
22 the two numbers because the reason for refusal may have
23 to do with specific circumstances under which this
24 condition is diagnosed and the need for the very rapid
25 decision-making and that a few weeks needed in which to

1 do a lot of workup, plus bring the family along to make
2 an informed diagnosis in terms of transplant.

3 So, this is mostly a comment. I think that
4 when we're looking at the benefits from positive
5 identification of infantile Krabbe Disease newborn
6 screening, I think that number, which is currently in
7 the mid-sixties in terms of the survival, may be
8 something subject to change with a wider availability
9 and greater experience and as the processes are further
10 refined of taking the infants from newborn screening
11 diagnosis to the transplant. Thank you.

12 DR. KEMPER: Thank you. And just to reflect
13 back on what you said, I mean, clearly there's a big
14 difference in risk of mortality and just the top curve
15 it doesn't represent every baby that received newborn
16 screening because it didn't include those who, for
17 whatever reason, refused transplant or the small
18 percentage who die as a result of transplant.

19 What I can't comment on simply because we
20 don't have the information, we don't know, is the
21 decision-making for those who choose not to have
22 transplant. We can't comment on that one way or
23 another.

24 DR. CALONGE: Shawn.

25 DR. MCCANDLESS: Shawn McCandless, Committee

1 Member. This maybe is a question for both of you, but
2 probably more for Dr. Prosser. In the modeling, it
3 appeared that the proportion of families choosing to
4 have transplant was different that the revealed data
5 that we saw. Am I misunderstanding that where it looked
6 like around 30% of families opted not to have
7 transplant; was that the number that was used for
8 modeling or was it different?

9 DR. KEMPER: Lisa, if it's okay, let me
10 preface your comments by saying, we have a lot of
11 historical information about the rates that families
12 refuse transplant at and then we had this limited number
13 from these 11 cases and so one of the things that we
14 wanted to make sure that we included was the right
15 number in the range and the baseline most value is
16 probably less useful than looking at the range. And so,
17 the sensitive analysis was expanded to include what we
18 learned from the states in these 11 cases, but Dr.
19 Prosser, I probably shouldn't have jumped in yet, so I
20 hope that I said that right.

21 DR. PROSSER: That's exactly right. So,
22 just the note that the range includes what has been most
23 recently observed from those three cases who declined
24 transplant and so the base case that we've used in the
25 model was derived from published data, so a larger

1 denominator of cases, as well, but important to note
2 that includes the range so that those three cases, if
3 you look at the ranges, are included there.

4 DR. CALONGE: Let me turn to our
5 organizational representatives to see if there are
6 questions or comments. I'm going to start with Sue.

7 DR. BERRY: Thank you for the opportunity to
8 comment, so I'm speaking on behalf of the Society for
9 Inherited Metabolic Disorders. So, SIMD, as you may
10 recall, is our professional organization for research
11 and care for person infected with inherited metabolic
12 condition of which Krabbe is a representative. We are
13 comprised of professionals of all types, including
14 physicians, scientific researchers, dieticians, advanced
15 practice providers, genetic counselors, and our Board of
16 Directors is made up of people representing all of those
17 interests.

18 So, as this was revisited, we reviewed this
19 issue was a group with great seriousness and we took a
20 formal poll of the Board of Directors about their
21 considered opinion regarding this plan and our result
22 was unanimous with no member of the Board favoring this
23 addition. This noted this with sadness because we
24 truly, as providers and professionals, under the
25 importance and gravity of this condition and its impact

1 on affected babies and their families.

2 So, in our discussion, several points were
3 raised. First, the group was concerned about the degree
4 of evidence that supported the impact of therapy,
5 particularly for infantile disease as that's the target
6 of the planned addition. There was some skepticism
7 about the recommended intervention and its
8 effectiveness, which is one with significant morbidity
9 and mortality.

10 The feasibility of doing the transplant in
11 the recommended timeframe that has been suggested is
12 pretty unrealistic, so I recently had the opportunity to
13 explore this because my own state elected to add this
14 test to our screening panel, so our transplant team, a
15 highly skilled group that is eager to undertake
16 transplant, was uncertain that they could complete the
17 necessary preparation in a fashion that would permit
18 initiation of therapy in 30 days. I really can say with
19 assurance that if anyone can do this, our team will make
20 every effort to do so, but won't be without major
21 challenges.

22 We heard a little bit about cord blood as an
23 opinion, but the likelihood of finding perfect matches
24 or even suitable matches is variable and can be
25 difficult. This is particularly true for person of

1 ethnic backgrounds whose pool of donors is more limited.

2 And given the time constraint, this could present an
3 impediment to the recommended intervention and is an
4 issue of justice in health care assessment.

5 And more importantly, from my point of view,
6 the justice of access for care is also limited,
7 anticipating that all the comers would be able to have a
8 transplant, particularly in the timeframe needed
9 presents significant financial uncertainties to families
10 under the system. It's common for children not to be
11 enrolled in Medicaid, for example, until well after this
12 therapy would have to be initiated. And while
13 retroactive payment is often accomplished, there's no
14 real assurance that this would happen for children
15 depending on this means of support. This is particular
16 true of the transplant can't be performed in the state
17 providing Medicaid coverage.

18 So, initiation of a time-sensitive therapy
19 that can't be initiated until financial arrangements are
20 available presents a potential for significant
21 inequities in care. So, we asked the Board what would
22 change their decision. We offered four possible factors
23 in the poll that might impact their decision, and these
24 were an FDA-approved treatment, additional evidence
25 about the transplant from more transplant centers,

1 additional funding for follow-up services, and
2 additional access for patients to treatment centers.
3 And of these, the most frequently chosen response was
4 additional evidence regarding utility of transplant.

5 In the end, we're professionals. We care
6 deeply about the families we serve, and if you make this
7 change to the panel, we will do our very, very best to
8 serve these children, but based on the criteria chosen
9 for addition this particular condition doesn't fulfill
10 the criteria for the RUSP. And so, for that reason, our
11 Society recommends against its addition. Thank you for
12 the opportunity to speak.

13 DR. CALONGE: Thank you, Sue. Now Scott
14 Shone.

15 DR. SHONE: Thanks. Scott Shone, ASTHO.
16 So, I have a technical question and a modeling question.
17 So, you mentioned on one of your slides and in the
18 report that there was mention of sensitivity, psychosine
19 stability. So, what's known about the stability, just
20 thinking about that most laboratories would likely send
21 this to one of the options that you highlighted to the
22 commercial option and a need to make sure that the
23 marker is picked up accurately. What's known of the
24 stability and would there need to be environmental
25 controls because I acknowledge the reference was to like

1 a six- or 12-month stored spot, but are we talking
2 weeks, are we talking months in terms of stability?
3 That's my first one.

4 DR. KEMPER: I'm going to give you sort of a
5 non-laboratory, my understanding of how the universe
6 works. So, a lot of those early studies were done on
7 dry blood spots that were stored and who knows what
8 environment and that's really what raised concern about
9 the stability. Psychosine, when it needs to be
10 measured, is going to be sent off immediately to one of
11 the handful of referring centers. And I asked their
12 questions and there was no concern about the stability
13 of the psychosine within the days period that it would
14 be done.

15 The bigger concern was that going back to
16 these dried blood spots that I always think about that
17 warehouse at the end of Raiders of the Lost Ark, who's
18 stored back there somewhere under what environment, who
19 knows, but there was no concern from the experts, the
20 people that we spoke to about the stability. Again, I
21 don't know of any particular studies that I can point
22 you about that.

23 DR. SHONE: Okay, I appreciate that, and it
24 sounds like under routine circumstances it would be
25 stable. My question, I think, is on the modeling side

1 for Dr. Prosser was with the refocus of the expedited
2 review on infantile Krabbe in the model there is a
3 reference to the non-infantile forms and if I'm reading
4 it correctly, and that's my question is it looks like by
5 using the proposed algorithm of low GALC followed by
6 psychosine higher than 10 there is the likelihood that a
7 greater number of children would not be identified. I
8 think it's 12.9, Dr. Prosser, if I'm reading your slides
9 correctly, would not be identified through newborn
10 screening. I understand that that's the whole point of
11 this. I just want to make sure that I'm reading.

12 DR. KEMPER: I'm going to jump in, Lisa, and
13 maybe let you respond, but in the modeling it's
14 completely true that when set the threshold at 10,
15 you're not identifying those later onset cases. That's
16 the function 10, as you talked about, but if you're
17 following children over time, even though they're not
18 picked up by newborn screening, some infants are going
19 to develop -- as they age, are going to develop the
20 later onset forms and so the modeling had to take into
21 account those children that would be identified through
22 newborn screening, which would have the infantile form,
23 as well as those infants just over time, as time
24 progressed would present with the later onset forms.
25 And now I'm nervous again, Lisa. I hope I said that

1 right.

2 DR. PROSSER: That's exactly right. I think
3 that the question that Dr. Shone is also asking is if we
4 compared to the previous version of the model, if those
5 are likely to be the cases that would fall into that
6 recommended for either high or low risk follow-up
7 following screening under a different screening
8 algorithm.

9 DR. SHONE: I appreciate that because when
10 this group decided on SMA and focused on due to the
11 deletion of exon 7, there was at least time that
12 acceptance said that about 5% of children with SMA
13 wouldn't be identified and so just understanding that it
14 sounds to me that you're saying with this algorithm the
15 model would say 100% of early infantile would be
16 identified, none of the other forms would be identified,
17 and then subject to the routine medical system.

18 DR. PROSSER: Correct.

19 DR. CALONGE: Robert.

20 DR. OSTRANDER: Robert Ostrander, American
21 Academy of Family Physicians. Part of your
22 presentation, and you appropriately said it's hard to
23 sort out the reasons that someone identified might or
24 might proceed with hematopoietic stem cell
25 transplantation for this because it is a very, very

1 difficult decision because the risks are absolutely
2 real, as everyone's been pointing out and with such
3 small numbers the magnitude of those who would or would
4 not choose to proceed I think is impossible to estimate
5 and so the relative effect of that on the survival
6 numbers is probably hard to sort out.

7 I would suggest that in addition to the hard
8 survival numbers, which I think is very important for
9 evidence review, that, as at least one of the family
10 members pointed out, that the opportunity for parental
11 autonomy and decision-making, one way or the other, is,
12 in my mind, a net benefit of diagnosing things that are
13 potentially treatable stage when -- I mean, shared
14 decision-making is what has to happen and happens all
15 the time in medicine with treatments that have high
16 risks and high benefits and the actual decision often
17 comes to rely on the value system of the family and not
18 necessarily out of hard number, one way or the other.
19 But if the diagnosis is made late, the opportunity is
20 lost, so I think that's one aspect in favor of adding it
21 to the RUSP.

22 DR. CALONGE: Shawn.

23 DR. MCCANDLESS: Thank you. It seems that
24 the updated nomination hinges very strongly on the
25 requirement for a dried blood spot psychosine

1 measurement greater than or equal to 10 as a second-tier
2 test prior to reporting the result to the family and so
3 that raises several questions in my mind that I hope you
4 may be able to address.

5 The first is that you commented earlier that
6 it's apparent that state labs will use one of several
7 commercial laboratories to do the second-tier testing;
8 is there evidence to support that? The second question
9 is if there are different labs used, what is the
10 evidence around the variability in measurements of
11 psychosine? Is a 10 in one lab a 10 in every lab or is
12 there likely to be variability between individual labs
13 in terms of the measurement? And the third question
14 then, is there evidence about what states will actually
15 do and state newborn screening programs will actually do
16 around a recommendation that they only report out values
17 that are extremely abnormal and not report values that
18 are clearly abnormal, but not meeting the threshold for
19 reporting for newborn screening?

20 DR. KEMPER: So, those are three questions
21 and hopefully I'll remember all three. So, one question
22 was about the number of labs that are out there, so
23 again, I'm not a laboratory person, but from talking to
24 experts who are, that measuring psychosine is not a
25 trivial thing and it doesn't come up a lot, as you could

1 see from the numbers that I showed. So, this is
2 probably something that will remain within -- I'm
3 projecting this, of course, within a limited number of
4 laboratories. So, it's done at the Mayo, it's done
5 through whatever PerkinElmer's lab is called. It's done
6 at my institution, Nationwide Children's Hospital. I
7 think there was somewhere else, and I can't remember
8 where off the top of my head.

9 So, there's a limited number of labs. It's
10 a complex test. I'm hoping Dr. Prosser can weigh on
11 that once I finish with this part. We did find one
12 study where they compared across the labs the amount of
13 variability and there was varied concordance across the
14 labs, so again, that was reassuring.

15 The third question you asked is one that's
16 difficult for me to project, so what would states
17 actually do? So, we did talk about that as a group.
18 One of the people who works at one of the references
19 labs said that if you told me the state just wants us to
20 report less than 10 or more than 10, whatever it is, we
21 would be happy to do that, and I think that's supported
22 also by the recent paper that Dr. Matern shared around.

23 But ultimately, as we've seen from other
24 conditions, states do whatever it is that states want to
25 do, right? Some states report back carrier information

1 from some children and there are differences in methods
2 and thresholds and stuff like that, so it goes beyond
3 what I can tell you from evidence review what states
4 would actually do. Now, I'm nervous. Did I hit all
5 three questions?

6 DR. MCCANDLESS: Yes, you did address all
7 three questions. I do think that we came to different
8 conclusions around the publication regarding variability
9 between individual labs. As I recall in that
10 publication, the concordance occurred after
11 normalization to a gold standard was shared, but that
12 the raw testing there was a great amount of variability
13 between labs and that really raises the question of
14 whether there will need to be and who will provide the
15 oversight regarding where second-tier testing is
16 performed and is there any way for this Committee to
17 impact that.

18 DR. KAMPER: I want to agree with what you
19 just said. It is my understanding that part of the
20 process described in that paper was to bring people to
21 that standard, but that was the final outcome. In terms
22 of what the Committee can do to ensure uniformity in
23 measure that, of course, I'll defer to Dr. Calonge and
24 others.

25 DR. CALONGE: I'm sorry, Shawn. I will

1 provide you with an anecdotal piece of evidence, that
2 Colardo would have to refer it out, so I know one state.

3 The other thing I'd point out is tandem mass spec has a
4 number of signals that have never been turned on and
5 aren't reported routinely I'm pretty certain by almost
6 labs in the U.S., so I would say there's evident to
7 having the discipline of not reporting out abnormal
8 signals in newborn screening, but I can't say how that
9 would happen in this specific condition. Carla.

10 DR. CUTHBERT: That was a very good question
11 and thank you for asking that. Our role at CDC, as you
12 know, is to provide proficiency testing and support for
13 laboratories and so on. What do we hope to do,
14 regardless of the outcome of the vote, is to make sure
15 that we have a psychosine test in house at CDC so that
16 any of the programs across the country that want to do
17 psychosine within their own state programs they will
18 have an opportunity to do that. We have, through our
19 partnership with APHL, we do have a mass spec-based
20 hands-on training that happens and we're aiming to
21 expand to two times a year.

22 That is when we bring in state programs to
23 learn, as principals, new methods and that sort of thing
24 and we anticipate that we will include methodologies for
25 psychosine for any of the programs who are willing to be

1 able to do as well. So, there will be an opportunity
2 for them to learn to do it in-house if they would like
3 and we'll provide any support over time as they need.

4 Harmonization is something that is also very
5 interesting and that we find to be very valuable. We do
6 have a lot of laboratory-developed tests across the
7 country, so one number in one state is sometimes
8 different than another number in another state and we do
9 recognize that across the board for many of these
10 non-kit-based testing platforms. There are a number of
11 states that have taken the initiative from different
12 funding sources to look at harmonization studies, using
13 CDC reference materials to be able to understand how do
14 they actually perform when you harmonize the data, and I
15 do anticipate that that will happen as well with
16 psychosine because that is going to be very important
17 because of the attachment of the numerical value as part
18 of the screening strategy.

19 So, we will play a very active role with the
20 states that have an interest. We'll work with various
21 APHL committees and subcommittees as well to ensure that
22 there is good conversation around how this gets done,
23 how effective it would be. Any of the needs that are
24 being identified over the course of time will have the
25 experience of the states that are currently doing it so

1 that we can have some examples as to what challenges
2 they run into and so, to be able to help fulfill that
3 role. And hope that that's helpful and that addresses
4 some of the technical challenges that are not unique to
5 psychosine, but we've been finding are very important as
6 part of an overall best practices for newborn screening
7 so that you don't feel alone with your own number that
8 is internally consistent, but may not have meaning
9 across the states. So, that's our role and we're
10 looking forward to being able to support states in that
11 way.

12 DR. CALONGE: Michele.

13 DR. CAGGANA: Thanks for that, Carla. Just
14 from a newborn screening perspective, we always work
15 together, as you mentioned, with APHL and CDC in order
16 to provide the best testing and outcomes possible for
17 babies and I'd say out of almost all of the conditions
18 that have been discussed around the core, Krabbe
19 probably has the largest number of advocacy experts,
20 transplanters, newborn screening programs that have
21 worked to refine the algorithm for this.

22 And with respect to psychosine testing,
23 right now there are several labs that you can do a send
24 out. If the laboratory that we use receives the sample
25 by 1:00 p.m., we have the results that day, so the

1 turnaround time is very quick, even if it's a Saturday,
2 which happened recently. They all use the same
3 methodology. They use the same set of calibrators, and
4 they also do external PT right now before a CDC-type PT
5 is available and so there is a lot of crosstalk between
6 the labs that do the second-tier testing to make sure
7 that they are all getting the same answer. Thanks.

8 DR. CALONGE: Debra.

9 DR. FREEDENBERG: So, my question is
10 actually a little follow on to Shawn's concerns and a
11 little bit of a clinical question in that we know in
12 many of these conditions when we say late infantile
13 those children are not entirely without problems and
14 they may be more subtle, but they also have difficulties
15 and so my questions was about psychosine levels that are
16 clearly abnormal for what you would expect from a normal
17 population but don't reach that 10.

18 So, the question is if the late infantile
19 kids may be having some symptoms, and I'm not really
20 sure about that. I was hoping somebody could comment on
21 that, whether that would be something that should be
22 considered as well.

23 DR. KEMPER: Let me address this just from
24 the evidence standpoint and then others may want to
25 weigh in. So, it's true, based on what we've seen, that

1 the infants who have infantile Krabbe Disease have
2 really levels in excess of 10. It's not like just on
3 the other side of 10. It's also true that later onset
4 Krabbe Disease, as I'm calling it, is really anything
5 after 12 months of age. So, it doesn't mean those
6 infants are going to go onto have a this is really
7 benign core, so it's just that they're going to present
8 with signs and symptoms after one year.

9 Based on the previous evidence review, there
10 was concern about the balance of benefit and harm for
11 identifying those children who were going to go on to
12 have later onset Krabbe Disease, which is what lead to
13 this nomination with the threshold of 10 to really focus
14 in on the infantile Krabbe Disease population. So, I
15 agree with you and I don't want to minimize potential
16 outcomes for infants with later onset Krabbe Disease and
17 I think -- and again, I don't want to cross beyond the
18 evidence, so I'm going to turn things back to the
19 Advisory Committee, right, but of course individual
20 states can do what individual states want to do with
21 levels below 10, and certainly we've seen that for other
22 conditions.

23 But the nomination in front of us that we
24 were charged to look at was really the benefits and
25 harms of identifying infantile Krabbe Disease identified

1 as signs and symptoms within the first year of life and
2 this psychosine level of 10 or more. I hope that
3 answers it.

4 DR. CALONGE: Thanks, Alex. Susan.

5 DR. TANKSLEY: Thank you. Susan Tanksley,
6 Association of Public Health Laboratories, and I just
7 wanted to speak as a member of a state lab. I'm with
8 the Texas Newborn Screening Program and so any tests
9 that we bring online has to go through verification if
10 it's FDA-approved validation, if it is a lab-developed
11 test, so if we decided to bring on psychosine, we would
12 rely upon CDC and PT materials to ensure that we
13 appropriately set our cutoffs and our cutoffs would
14 based upon that versus like a hard number. So,
15 depending on what our program decides, do we only want
16 to pick up the early infantile or do we want to be a
17 little more conservative? We would make those decisions
18 as far as what our goal of the newborn screening program
19 is, and I couldn't safely speak for all newborn
20 screening programs that CLIA validation process is
21 really important and each program makes a decision.

22 We're required by our statute to screen for
23 anything that is on the Recommended Uniform Screening
24 Panel, as funding allows. And so, if early infantile is
25 added to the RUSP, then we would definitely at least

1 screen for those.

2 DR. CALONGE: Thanks. Margie.

3 DR. REAM: Margie Ream, Child Neurology
4 Society, org rep. I've looked at Krabbe Disease newborn
5 screening from many different angles. I also direct the
6 Leukodystrophy Clinic in Nationwide Children's Hospital
7 in Columbus, Ohio. I'm on the Ohio Newborn Screening
8 Advisory Committee and worked with Alex on the Evidence
9 Review Group.

10 Yesterday, Shawn McCandless asked me about
11 Ohio's experience because we have been screening for
12 Krabbe Disease for several years, but as was pointed out
13 yesterday, Ohio does not use psychosine in the official
14 newborn screening paradigm. Shawn asked that maybe the
15 Committee would like to hear a little bit more about
16 Ohio's experience with that. WE have identified four
17 infants with infantile Krabbe Disease in Ohio all with
18 psychosine well above 10, forties, mid-thirties and
19 above.

20 Of those four, all opted for transplant.
21 One actually resided out of state, was born in Ohio,
22 went back to their home state for transplant and succumb
23 to transplant-related complication. The other three
24 were transplanted at Nationwide and all within five
25 weeks of life. Our most recent one that we transplanted

1 I think was November was day 28, so regarding
2 feasibility, it is a multi-step process that requires a
3 big team. And obviously, Nationwide is not
4 representative of many hospitals that all of the other
5 countries have access to, but we were able to go from
6 never having done it to being able to do it within 28
7 days. And so, there is feasibility, but I definitely
8 acknowledge that not everybody has access to a hospital
9 like that in their backdoor.

10 Of the three that were transplanted in Ohio,
11 one was lost to follow-up. The other two are alive and
12 doing okay. One is still quite young, so we don't
13 really have outcomes from them yet. But those four
14 infants were not included in the 11 that the states that
15 were using psychosine reported to the Evidence Review
16 Group because we did need to focus on psychosine as part
17 of the newborn screening lab paradigm. And so, I don't
18 know what the other states not using psychosine have
19 found, if they've identified any cases, but that's what
20 Ohio's experience has been so far.

21 DR. CALONGE: Thank you, Margie. Scott.

22 DR. SHONE: So, just real quick, I wanted to
23 mention both Susan and Carla had mentioned laboratory
24 developed tests and I just think for this Committee for
25 the future, given what's going on with FDA and CMS that

1 that probably needs to be on the radar for the future
2 because it's going to dramatically impact where we go.
3 But my comment is -- Scott Shone, org rep from ASTHO, so
4 it's from a public health system perspective, and it
5 goes back to Shawn's question about what will states do.

6 And everybody, so far, in front of me has
7 said states will do what states will do and I think that
8 we also need to recognize that decision of whether or
9 not we honor just a 10 or above is largely outside the
10 laboratory or even the follow-up teams. That is a
11 public health decision with counsel and health officials
12 who are going to weigh the impact of doing or not doing
13 and every state is going to be a little different and I
14 feel that that ability to guide or control is outside
15 the purview of this Committee.

16 It's understandably a potential risk, as
17 some of you may feel, but I don't know that it is
18 necessarily pertinent to that, but I do feel that it
19 does play into an individual state in the Committee's
20 assessment of the benefits versus harms of wherever you
21 make a recommendation in terms of that decision. And I
22 think because the psychosine test is quantitative and
23 there is going to be, as Susan was alluding to, whether
24 or not people decide to look at like a borderline range
25 that lab you send out to doesn't actually articulate,

1 but you decide to work on or you validate yourself.
2 That is going to be up to the state, but it is
3 fundamentally different, and I'll go back to the SMA
4 discussion, which is when you're just looking at the
5 absence of exon 7, whether it's there or not, is very
6 different from this gray line of a second-tier test of
7 psychosine.

8 So, I think the data is good, but I would
9 suggest to the Committee that public health and the
10 public health officials of each state are going to have
11 to sit down with newborn screening and look at whatever
12 the recommendation is, if it does go forward or not, to
13 make a decision on where that line is and will have to
14 deal with the outcomes that are associated with that,
15 but that there are obviously risks and benefits that you
16 need to weigh in terms of where that line is, so
17 appreciate the moment.

18 DR. CALONGE: Thanks, Scott. That brings up
19 to the end of this discussion. First, I want to
20 recognize and thank Dr. Prosser, Dr. Kemper, and the
21 entire ERG Team for a great evidence review and great
22 presentations. Thank you.

23 (Applause)

24 DR. CALONGE: We're now going to break for
25 lunch. We're going to start promptly again at 15 after

1 1:00 on our time. Remember there is a cafeteria across
2 the way, a store that you can get from as well. And if
3 you go outside, you have to be rescreened to get back
4 in, so see you in 45 minutes.

5
6 **Committee Report: Newborn Screening for Krabbe Disease**

7 DR. CALONGE: Next, we're going to move on
8 to the Committee review of Krabbe. As most of you know,
9 for each condition that we consider for full evidence
10 review, we select two Committee Members to serve as
11 liaisons to the ERG for that topic. They're tasked with
12 presenting a summary of the evidence review, formulating
13 a recommendation for the condition rating and assisting
14 the Chair in leading the Committee discussion.

15 So, I'm going to turn things over to Dr.
16 Shawn McCandless and Dr. Jennifer Kwon, and then
17 recognize that, as they talk, we work with the decision
18 matrix that we might be able to put up on the screen
19 after their presentation, so I think we'll go ahead and
20 do it that way.

21 DR. KWON: Thank you, Ned. So, Shawn and I
22 have served again as the liaison to the Committee, and
23 we sat in on the TEP meetings with the Evidence Review
24 Committee to look at the revised application for
25 infantile Krabbe Disease, the expedited review. The

1 disorder of interest is infantile Krabbe Disease. The
2 newborn screening test would be two-tiered, dry blood
3 spot screening, the first tier is low
4 galactocerebrosidase enzyme activity, and the second
5 tier is psychosine greater than 10.

6 This psychosine cutoff is more specific for
7 infantile Krabbe Disease and it reduces the number of
8 late onset cases with uncertain outcomes who need to be
9 followed. Overall, the new two-tier screening improves
10 the net benefit of screening. As you know, Krabbe
11 Disease is an autosomal recessive disorder due to the
12 deficiency of galactocerebrosidase enzyme activity, which
13 leads to early injury to myelin and brain cells.

14 Nerve degeneration is the hallmark of the
15 disorder, earlier age of onset is associated with
16 earlier mortality. As you have heard very eloquently,
17 in infantile Krabbe Disease infants may appear normal at
18 birth, then within weeks to months develop difficulty
19 feeding, accompanied by irritability, poor head control,
20 and poor responsiveness. Clinical exams show increased
21 muscle tone, abnormal reflexes, and death occurs in
22 early childhood.

23 The treatment for Krabbe Disease is
24 hematopoietic stem cell transplant, which can improve
25 survival and developmental outcomes in those with

1 infantile Krabbe Disease, who are treated
2 pre-symptomatically. That is before the development of
3 significant symptoms and signs. HSCT procedures are
4 associated with known morbidity and mortality and since
5 this is the only treatment offered currently to
6 infantile Krabbe Disease patients, families who are
7 appropriately counseled have refused treatment, as
8 you've already seen. In reviewing the data from state
9 programs currently using incorporated psychosine in
10 their KD screening, no infantile case was identified
11 with the dry blood spot psychosine of less than 10
12 nanometers.

13 There was one reported case from
14 authors in New York who presented a child with symptoms
15 of infantile Krabbe Disease who died at two years of
16 life who had multiple psychosine levels less than two.
17 As you've already heard, there was some discussion of
18 whether or not the child perfectly met criteria for
19 infantile Krabbe Disease. I only bring it up as
20 something that was discussed during the evidence review.

21 Thanks to the new algorithm, the specificity
22 is greatly improved, using the psychosine cutoff of
23 greater than 10. All cases of Infantile KD identified
24 by states currently using psychosine have had psychosine
25 levels of greater than 10. Confirmatory testing for

1 infantile Krabbe Disease should also include other
2 tests, such as GALC genotyping, MRI and nerve
3 electrophysiology.

4 This is the final slide, the table that Alex
5 presented, showing the distribution of the cases
6 identified in states that incorporate psychosine
7 screening into their newborn screening protocol and
8 using that with a cutoff of 10 they were able to
9 identify 11 cases. The outcomes of those 11 cases
10 identified by screening show that one of them there's no
11 follow-up information available; three, declined
12 treatment. Of the seven who received treatment between
13 24 and 42 days of life, they are alive for at least two
14 years. One received an additional transplant and also
15 gene therapy and one died around seven months due to
16 graft-versus-host disease.

17 So, the potential harms that exist for
18 infantile Krabbe Disease under this current protocol
19 include treatment-related harms of morbidity and
20 mortality associated with stem cell transplantation.
21 There have been concerns raised and also discussed about
22 equity around the availability of appropriate donors and
23 access to appropriate sites.

24 The higher psychosine cutoff of greater than
25 10 nM, to date, appears to eliminate many of the harms

1 that we were concerned about associated with the
2 diagnosis of indeterminate diagnosis, shall I say, of
3 Krabbe Disease. Those children with biologic GALC
4 variants and psychosine levels between two and 10. These
5 children are no longer patients in waiting and are no
6 longer at risk for potentially unnecessary stem cell
7 transplantation. The childhood onset disease will also
8 not be detected under this protocol.

9 It is possible that Infantile KD cases may
10 be missed using this cutoff. For example, in that
11 article from Corre et al maybe the did describe a
12 patient with Infantile KD. That's possible, but it has
13 not been found yet.

14 Again, just reviewing the projected outcomes
15 using results from Dr. Prosser, yearly we would expect
16 around 5.6 to 20 cases of Infantile KD identified. As
17 noted before, this markedly improves the specificity of
18 Infantile KD diagnosis. The range is zero to five for
19 not identifying Infantile KD and -- I'm sorry. For
20 identifying an infant who doesn't have Infantile KD and
21 then the false negative also has a range that includes
22 zero, so zero to five.

23 So, part of Shawn and my job was to wrestle
24 with the level of benefits versus harms in the current
25 protocol for KD newborn screening and under the revised

1 screening algorithm that incorporates the higher level
2 of psychosine, we agree that there is evident benefit
3 and survival for those undergoing and surviving early
4 stem cell transplantation. The treatment data are
5 limited and there remain honest differences of opinion
6 regarding the value of treatment in infantile onset
7 cases as evidenced by family decisions. The summary of
8 harms with this approach really is related to the
9 treatment mortality.

10 So, here's the decision matrix that we
11 reviewed, and in reviewing it, there were a certain
12 number of points that came up. And I think I will let
13 Shawn take over from here.

14 DR. MCCANDLESS: Thank you. Again, to
15 summarize the evidence, as we see it, for the
16 recommendation to the Committee for families that choose
17 therapy there is a measurable improvement in the child's
18 lifespan and in the neurodevelopmental course. Some
19 families do not find this improvement compelling enough
20 to opt for the therapy that is offered and there's very
21 limited evidence that's available from the newborn
22 screening data presented today is that that's in the
23 order of 30 to perhaps as many as 40%, although one of
24 those patients was lost to follow entirely and I'm not
25 sure that it's fair to conclude that that was a

1 considered decision.

2 It appears to me that there continues to be
3 a risk that if psychosine value is less than 10 are
4 reported as high risk by some state programs, the
5 balance of benefits and harms may be impacted. And the
6 slide says negatively impacted, but I think as we've
7 heard today and as we've heard during the previous
8 evidence review, I think there could be honest
9 differences of opinion about the value of identifying
10 late onset cases and the potential harms related to the
11 same situation and so each Committee Member has to weigh
12 their thinking about that individually, I think.

13 It does appear that newborn screening
14 programs are ready to enact screening for Krabbe and
15 would be able to implement in a reasonable amount of
16 time. We want to acknowledge what we heard earlier
17 today from a variety of different individuals that the
18 process of diagnosing and treating infantile Krabbe
19 Disease within four to six weeks will be challenging,
20 but as Dr. Kurtzberg pointed out, not impossible with
21 potential for errors and delays as there is with any
22 medical procedure, especially things that need to be
23 done quickly. And unless state programs tightly
24 coordinate with their newborn screening callout
25 diagnostic testing and referral, so every state that

1 does this is going to really need to have a tight system
2 in place when they initiate the project.

3 When we put this all together, for the two
4 of us, the conclusion that we come to is that the
5 overall net benefit regarding outcomes of newborn
6 screening for a mandatory population based newborn
7 screening program for infantile Krabbe based on low GALC
8 activity and psychosine equal to or greater than 10 in
9 the dry blood spot that there is moderate certainty that
10 screening would have a significant benefit. Therefore,
11 our recommendation is that infantile Krabbe Disease,
12 defined by low GALC activity and psychosine equal to or
13 greater than 10 is not recommended for inclusion as a
14 core condition on the RUSP.

15 That said, I think I speak for both of us
16 when I say that we want to first acknowledge and thank
17 the families who've shared their lived experiences with
18 us today on this Committee and there's no doubt that
19 Krabbe is a devastating and heartbreaking condition.
20 The Committee is not immune to that emotional power.
21 The charge of the Committee, however, is to make
22 evidence-based recommendations about the public health
23 impact of adding conditions to the Recommended Uniform
24 Screening Panel for mandatory population-based newborn
25 screening.

1 The Committee is charged with assessing the
2 net benefit of the entirety of the population screened,
3 not just individuals affected. This recommendation
4 takes into account that earlier decisions by the
5 Committee were not necessarily based on a single
6 concern, rather that they were based on the
7 preponderance of the evidence available at the time.

8 The latest nomination effectively addresses
9 one of the concerns that was raised the last time by
10 revising the case definition. The result, though, and
11 that definition is outside the purview of this Committee
12 to enact or enforce and there remain concerns about
13 benefit and harms from the potential for states to
14 identify individuals with psychosines that are below the
15 recommended cutoff of 10.

16 We also want to honor and take into account
17 the real-world choices of families who've been informed
18 of the risks and benefits of therapy when they choose
19 not to pursue treatment. We can't assign motive or make
20 judgments based on that, beyond the undeniable fact that
21 when informed of this devastating diagnosis and the
22 options available, not every family finds the option of
23 treatment to be of value and to be valuable to them and
24 wish to honor that, but we also don't want to add
25 meaning to it where there is not evidence to support

1 that meaning.

2 Finally, I just want to say that we realize
3 that this decision will be one that each Committee
4 Member makes independently and thoughtfully based on
5 your own understanding and weighing of the evidence
6 regarding benefits and harms. There will be differences
7 of opinion and we respect each of your thoughtfulness
8 and autonomy in voting the way that you feel is right
9 based on how you interpret the data.

10 So, thank you and we're very interested to
11 hear the discussion.

12 **Committee Discussion**

13 DR. CALONGE: Thanks, Shawn and Jennifer.
14 Would you be happy to take your seats and take questions
15 and comments from there? At this point, I'd like to
16 throw the floor open to start with discussion among
17 Committee Members. Christine.

18 DR. DORLEY: So, I'm just wondering if it's
19 the purpose of this Committee to recommend how screening
20 be performed and how an algorithm should be put into
21 place to detect kids with --

22 UNIDENTIFIED SPEAKER: We're having trouble
23 hearing online.

24 DR. DORLEY: So, I'll repeat myself. This
25 is Christine Dorley, Committee Member. I was just

1 wondering if it's our responsibility as a Committee to
2 make a decision on how screening should happen or how a
3 screening algorithm should be developed. And I know
4 that the nominators, in putting up this disease for
5 consideration, had to come up with a very clearcut case
6 definition, which means low GALC enzyme and psychosine
7 greater than 10.

8 And with that being said, I'm feeling like
9 maybe we're blurring the lines between the case
10 definition and then what laboratories should do. And
11 then I, too, was wondering do we have any opinion on the
12 individuals who were actually diagnosed with late onset
13 Krabbe Disease how they felt about knowing that
14 eventually they may develop a disease later on in life
15 and whether they prefer to know about this decision
16 because in speaking from a laboratory perspective and
17 somebody already doing screening for Krabbe Disease,
18 implementing the psychosine is definitely very necessary
19 to decrease your false positive reporting.

20 But where you set that cutoff, as far as
21 meeting the case definition as defined by this
22 nomination versus just considering reporting anything
23 that's above, say, a two, makes a big difference in the
24 life of those patients. So, I'm just wondering if we
25 make this decision and we say that screening should be

1 low GALC enzyme and psychosine 10, how do those
2 individuals who would later on be diagnosed with late
3 onset Krabbe Disease who could've gotten treatment much,
4 much earlier would feel about us in this particular
5 algorithm.

6 DR. CALONGE: I think I can answer that.
7 The broader definition of screening for Krabbe that
8 would include additional potential late infantile --
9 sorry, I still have trouble with that phrase, but late
10 infantile was concerned and was not passed, so this is a
11 different definition that narrowed it down to Infantile
12 only. As such, the evidence that we had presented today
13 versus the last time we talked about Krabbe was really
14 narrowed down to that definition.

15 And so, what we're asking you to do today,
16 as a Committee, is consider screening with that case
17 definition of low GALC and high psychosine or psychosine
18 above 10 and made that into the case definition that we
19 would then recommend to the -- if we voted for it,
20 recommend to the Secretary to add to the RUSP. So, I
21 don't know if that helps, but our intent wasn't to again
22 at this meeting re-adjudicate the issue about low GALC
23 in the setting of a psychosine less than 10, and your
24 point is well taken. Michele.

25 DR. CAGGANA: Michele Caggana, Committee

1 Member. I just want to review the harms a bit because
2 the harms that were being put forward for Krabbe are
3 treatment-related mortality. This question of whether
4 late onset would be identified or missed and the false
5 positive rate and the fact that we would require tightly
6 coordinated networks and family refusals and what the
7 rationale for those were.

8 And so, stem cell transplants there's a risk
9 no matter what the condition is when someone is
10 transplanted, and we screen for ALD with a similar type
11 of treatment and so that's a well known and this data
12 that we presented show about what the rate is across
13 many different conditions.

14 And Dr. Tanksley and Dr. Dorley said, the
15 idea of whether or not you can identify the late onsets
16 really will be subject to what the state feels is
17 appropriate for their own, based on either advice from
18 the Commission or the Advisory Committee or whatever.
19 And I will say, as I alluded to before, amongst all the
20 conditions on this screening panel, I would say that
21 Krabbe Disease is really the most tightly coordinated.
22 We follow these kids all the way through and so a
23 timeline that we just observed not too long ago was
24 specimen arrived on day of life three, psychosine
25 elevation came on day of life seven, plus two DNA

1 variance. The baby was seen in the clinic by day nine,
2 admitted on Day 13, and is undergoing conditioning and
3 will be transplanted within the timeframes that were
4 proposed that were necessary for the best outcomes for
5 these families.

6 And lastly, the concern over families'
7 refusing therapy we see this for other conditions. We
8 see families lost to follow-up and we see families
9 refuse for any number of reasons and so I'm just
10 struggling with the fact that these harms would be
11 substantial enough to go one way or the other with a
12 recommendation because in reality we deal with these
13 related to newborn screening for many different
14 diseases, same kind of harms, if you will. Thanks.

15 DR. CALONGE: Jennifer and then Shawn.

16 DR. KWON: I would say that I agree. There
17 are other conditions on the RUSP in which you have
18 families refusing to follow-up and refusing to follow
19 through with treatment. Unlike a lot of the clinicians
20 who sit on this Committee, I really think of newborn
21 screening as a compulsory and unconsented laboratory
22 intervention.

23 And I think that because of its nature the
24 treatments that we propose for these infants should be
25 so effective that parental refusal would be rare. I

1 think that the machinery of public health in compulsory
2 testing has a high bar and that is not a bar that has
3 been reflected in prior conditions on the RUSP and so I
4 appreciate Michele bring up the fact that invoking it
5 now doesn't seem fair when you consider other conditions
6 approved. However, for me, for my personal view of this
7 program, I think that it is not a program that public
8 health systems -- I think that it's a program that laces
9 burdens on public health and medical systems across the
10 country because of the fact that we cannot reliably say
11 to families that the efficacy involves than more
12 survival and the developmental outcomes that come with
13 that survival. And I understand that for some families
14 that's huge, but unfortunately, in my mind, newborn
15 screening as a public health activity is not to give
16 families an autonomous option and information about
17 their child that may change. But I think currently when
18 I think of newborn screening, I don't think of it like
19 that.

20 DR. CAGGANA: May I respond.

21 DR. CALONGE: Yes.

22 DR. CAGGANA: I understand where you're
23 coming from, but I would argue that there are conditions
24 we screen for that create very medically complexed
25 children already and there's not been any discussion on

1 removing some of those conditions from the panels and so
2 I think you need to think about it in the context of how
3 programs operate and some of the therapies are not 100%
4 effective and they are complicate and I just feel as if
5 with experience and with the expertise that's across the
6 country for this condition that these children will be
7 taken care of.

8 DR. CALONGE: Shawn.

9 DR. MCCANDLESS: Shawn McCandless, Committee
10 Member. I just want to clarify. Perhaps we misspoke
11 when we presented the data. I don't think that either
12 of us see families refusing treatment as a harm, just to
13 be clear. We see that as one way of understanding how
14 parents in this situation value the benefit of therapy.

15 So, this whole discussion is extremely nuanced and
16 challenging in this setting and I get that. And I feel
17 like it's just a very difficult discussion to have in
18 this forum to be able to be vulnerable and honest and I
19 want to acknowledge that, and I want to make clear that
20 I'm also challenged by that.

21 There is something special, in my opinion,
22 about compulsory population-based newborn screening that
23 we need to be very careful to protect. And you raised
24 the point, Michele, that there are other conditions were
25 families may choose to not pursue therapy, but that's

1 actually a very small number and those are ones that
2 have been added later and it's part of the slippery
3 slope of where -- slippery slope sounds bad. It's part
4 of the discussion of what newborn screening can and
5 should be. And I come back to the fact that
6 population-based, mandatory newborn screening started
7 with conditions like PKU, it expanded to conditions like
8 MCAD, we would not in our clinic tolerate -- we would
9 make a social services referral for a family with a baby
10 PKU that opted to not choose treatment. We would never
11 do that for Krabbe or for MPS1, or for XALD.

12 And so, you raise a really good point that
13 there are qualitative and quantitative differences in
14 these conditions that we need to be thoughtful about,
15 but I also don't feel that that means that every
16 decision just means the next one needs to be more
17 aggressive or that we need to continue to push the
18 envelope.

19 I think it's a really nuanced decision, and
20 as I said, I totally understand that different people
21 will have different perspectives on this and they're all
22 valuable and each of us has to vote the way that our
23 heart and mind tells us is the right thing to do.
24 Sorry, that was long, but I apologize for that.

25 DR. CALONGE: I'll come to the people online

1 in just a minute, but Dr. Warren.

2 DR. WARREN: First, I want to thank our
3 Committee colleagues for their review of the review and
4 their report out, and appreciate the acknowledgement
5 that reasonable people can disagree and that this is a
6 nuanced conversation. I also want to comment on sort of
7 a point where we find ourselves in newborn screening and
8 the evolution and you've heard some conversations about
9 that and I appreciate that there's work going on with
10 the National Academies, for example, to be able to give
11 us some sense of what does that new frontier look like
12 and for some of these things we're already at the border
13 of that new frontier. But it will be helpful to
14 understand what are those rules, because it may be that
15 those criteria that got us here are not the same ones
16 that get us there.

17 I think, for me, where we are now is we've
18 got a nominated condition with these revisions where we
19 can identify children who may be affected. There is a
20 therapy that saves lives. We've got the opportunity to
21 give parents a choice. And as you all noted, not all
22 parents may choose that and that is very different than
23 a family who would choose to refuse treatment for PKU,
24 but it gives them a choice that they wouldn't otherwise
25 have.

1 I also just want to say -- I'm sorry. This
2 is more of a comment than a question. There were
3 numerous reflections today about system opportunities
4 and I don't want to diminish the system issues. And I
5 think everyone of us sitting around this table, and
6 frankly, everyone sitting in this audience and watching
7 is part of the system, whether we work in state or
8 federal governments, whether we work for advocacy
9 organizations, whether we provide clinical care, all of
10 us has a voice, a different voice, but a voice in that
11 decision-making process.

12 And we've heard examples today of where
13 folks have figured this out and have done this and does
14 that mean that if this Committee votes to approve and
15 that it's going to be seamless every time, probably not,
16 but we at least create the space where we can all
17 continue to push, so thank you for your review and your
18 work here.

19 DR. CALONGE: Let me go to Jannine online.

20 DR. CODY: Jannine Cody, Committee Member.
21 First, thank you Jennifer and Shawn for such a
22 thoughtful review, but I feel like there are two things
23 that received sort of outweighed consideration. And I
24 apologize, Shawn cut out a little bit online, so I
25 didn't hear all of what he had to say. But considering

1 or worrying about what states will do is really beyond
2 what we can control and try to guess at and consider.
3 And also, I guess I agree with Michele, as point out, to
4 treat or not treat is not compulsory. To not screen at
5 this point is compulsory for not treating, so we rob
6 families of the opportunity even make that choice on
7 their own if it's not screened.

8 So, the fact that some families choose to
9 not, after careful consideration with their clinician,
10 does not seem to be a strong factor to me in whether to
11 approve or disapprove. I guess I agree with Michele on
12 that, so thank you.

13 DR. CALONGE: Thanks. Ash.

14 DR. LAL: Thank you. I'm just going to keep
15 my comment focused on the one thing I wanted to mention
16 and that's the net benefit certainty. And I was
17 thinking through this I was wondering why I may come to
18 a different conclusion, and I realized that I was
19 thinking of net benefit from treatment versus net
20 benefit from screening. That's what's in the decision
21 matrix.

22 But if one separates out and thinks about
23 the treatment itself, what we've been shown today is
24 that those who have received stem cell transplant there
25 is -- and I'm repeating myself. There's an elimination

1 of the disease-related mortality and there is stability
2 of response to the extent that follow-up allows us to
3 pursue them.

4 The transfer of morbidity of around 10
5 percent is what one would expect for unrelated donor
6 transplants and in many conditions where the disease
7 itself carries a high mortality, there are families and
8 their patients who might accept a higher related to
9 mortality and then 10%. What we're comparing it with is
10 immediate survival of less than one year, if I remember
11 the graphs correctly and putty much zero survival beyond
12 five to six years of age.

13 So, the relative benefit of the risks of one
14 or the other you really can't calculate it because
15 you're comparing close to 100% with 0%. So, where does
16 the decision about the benefit lie. I think it might be
17 with the quality of life that is shown after a
18 successful treatment and that's where it becomes rather
19 subjective. I, from looking at the data today, and
20 hearing form the experts and the families, do feel that
21 the quality of life that is assured after a transplant
22 would, in my mind, justify going ahead with the
23 treatment and therefore as far as the treatment itself
24 is concerned, I think there's a high certainty of a
25 benefit.

1 And I agree that when you extrapolate that
2 to the population level that one may have to take some
3 other things under consideration, but the treatment
4 itself I would rate it as a high benefit. Thank you.

5 DR. CALONGE: Thank you. Shawn, is this in
6 response?

7 DR. MCCANDLESS: I just want to respond
8 briefly to Dr. Lal's comment. Shawn McCandless,
9 Committee Member. I want to be clear that the statement
10 that we made about moderate certainty of significant
11 benefit is related to the overall net benefit of public
12 health mandatory newborn screening program for Krabbe
13 Disease with low GALC activity and psychosine greater
14 than 10. It includes both potential harms and potential
15 benefits.

16 No one would argue with you that the
17 mortality benefit is clear, even from the very limited
18 data that we saw today. I don't want to leave anyone
19 with the conclusion that we doubt in any way the
20 mortality data. That is very clear. The
21 neurodevelopmental outcomes were definitely improved
22 compared to the baseline. There's no doubt about that.

23 The statement about the certainty of the net
24 benefit is related to the overall population-based
25 newborn screening program, not just the benefit of

1 treatment for those people who survive the bone marrow
2 transplant and I just want to be clear about that.

3 DR. PHORNPHTKUL: Chanika Phornphutkul,
4 Committee Member. This is more of a comment as I am
5 listening and thinking through this. There's a small
6 number of cases and I'm thinking about the cases that
7 decline treatment. I think we all have to keep in mind
8 it will depend on who the family met, the historical
9 natural history may tip the scale one way or the other.

10 Because the number is so small, I think we
11 just need to think about this and be very sensitive that
12 the decision it could turn into 50% if one more patient
13 declined treatment, so that's just something that I try
14 to incorporate in terms of trying to make this I think
15 would be this decision. Thank you.

16 DR. CALONGE: Melissa.

17 DR. PARISI: Melissa Parisi from NIH and I
18 just wanted to thank everybody for a really thoughtful
19 discussion. I think this is a challenging decision to
20 make. I have three points that I wanted to make. One
21 of which is that, as you mentioned, Shawn, I mean I
22 think everybody weighs the benefits perhaps a little bit
23 differently than others. I think we all wish that the
24 outcomes were better for these kids with infantile
25 Krabbe Disease after stem cell transplantation, but they

1 are alive and for many families that, in and of itself,
2 is a significant benefit.

3 I took care of a baby with Krabbe Disease
4 over 20 years ago now before transplantation was even an
5 option and it was devastating for this family to watch
6 their child just regress and finally succumb around one
7 year of age and I so wished that those parents had had
8 an option of transplantation or at least something that
9 might have given them some hope.

10 And I also feel that just because some
11 families may decline hematopoietic stem cell
12 transplantation does not necessarily mean that that
13 would justify taking away the option for other families
14 that would like to have that as a possibility,
15 recognizing that it is not a trivial procedure and not a
16 benign procedure and that there is a certain mortality
17 associated with it.

18 My second point is really related to the
19 concerns about the availability of hematopoietic stem
20 cell transplantation, and I know this was raised by the
21 very thoughtful comments that Sue Berry made from SIMD.

22 I guess thinking about the prevalence of this condition
23 now that we think that we have better estimates and the
24 number of infants impacted and the experience that has
25 now been garnered in the 11 different states that are

1 doing screening that have some fairly broad distribution
2 across the country, not complete, of course, that with
3 the support of the advocacy groups and with the
4 transplant centers that do have a great deal of
5 experience my hope is that the approximately 11 babies
6 per year born with this condition, if screening is
7 accepted, would be able to access those resources and
8 get efficient transplantation from a qualified center in
9 a timely manner.

10 And then, thirdly, I have to put on my NIH
11 research hat and suggest that recognizing that the
12 current cutoff of low GALC and a psychosine greater than
13 10 helps to make this condition better defined and
14 allows for reduced false positives, but it does break my
15 heart a little bit that there's the potential to lose
16 the very valuable data from those babies that would have
17 a psychosine level between approximately two and 10 who
18 are risk for a late onset Krabbe Disease form that would
19 be incredibly valuable to know more about to be able to
20 follow longitudinally and potentially have very
21 reasonable and possibly positive outcomes from
22 transplantation.

23 So, I know that that's not what we're
24 debating today and that's not what we're going to vote
25 on, but I guess my call as a researcher is that would be

1 valuable to know more about those babies if this
2 condition is accepted to the RUSP. Thank you.

3 DR. CALONGE: Thank you. Cindy.

4 DR. POWELL: Thank you. Cindy Powell,
5 organizational rep from ACMG. I did not survey the ACMG
6 members nor our Board of Directors about this. I'm
7 speaking as someone who's tried to keep an open mind
8 about all of the evidence that's been obtained. I was
9 still a Committee Member and presented on behalf of the
10 N&P Workgroup in May of 2022 where we recommended that
11 Krabbe be put forward for a full evidence-based review
12 and I've thought a lot about the benefits and risks of
13 Krabbe newborn screening.

14 In listening to all the data presented, and
15 I wish that there were more follow-up data available,
16 but I know Dr. Kemper and the group did their best in
17 getting what data was available, but it really seems to
18 boil down to one of the main criticism is the timeliness
19 of treatment and the challenges of this, and I just
20 don't think that's enough to say that it's not worth
21 including on the RUSP.

22 I mean there's been publications for the
23 clinicians in Virginia that this is going to present so
24 many challenges, but frankly, we've always had
25 challenges whenever there's been a new condition added

1 and we have been able to overcome those. Yes, I'm in a
2 state where we have the Transplant Center with the most
3 experience here in North Carolina, but the experts
4 there are willing to share their knowledge with other
5 states, other sites, and to be able to expedite
6 treatment so that babies can get transplants within four
7 to six weeks I think is doable for the most part. And
8 yes, there will be challenges, but I think they can be
9 overcome.

10 So, I think the other things Dr. Caggana
11 definitely touched on several of the points I wanted to
12 make, so I won't repeat those now, but basically there
13 are a number of other conditions already on the RUSP
14 that have a lot of the same concerns that have been
15 brought up about Krabbe Disease. Thank you.

16 DR. CALONGE: Thank you, Cindy. Natasha.

17 MS. BONHOMME: Natasha Bonhomme, Genetic
18 Alliance. First, I have a question, I guess, to the
19 Committee, maybe it's to you. We heard from Dr. Kwon
20 and Dr. McCandless their views on what newborn screening
21 is and the purpose of it. Does this Committee have a
22 shared definition or view or idea of the purpose of
23 newborn screening that it works from? I just think that
24 that might help when people are thinking about what are
25 we voting on.

1 Some people say newborn screening is meant
2 to reduce morbidity and mortality, maybe that isn't the
3 full definition here, so that's just a question; is
4 there a shared working definition of that that this
5 Committee is working from? I don't know if you want to
6 answer that before I get my next topic.

7 DR. CALONGE: I think there's what we have
8 written down and then I think it's evolving, so I would
9 say it's not a discussion we had.

10 MS. BONHOMME: Okay. And then to the
11 comments around therapy and understanding the
12 perspectives when speaking to the fact that some
13 families refused and appreciating the comments that
14 trying not to weigh too much into that, but it seems
15 like we're weighing into that because we're discussing
16 it so much. And just a reminder that we talk about we
17 want informed decision-making for families. That means
18 they get to make a decision, informed both on the
19 knowledge that we have, but also the knowledge they have
20 about their own lives and how they want that to go. So,
21 I think that's a really important piece to this.

22 And PKU has been brought up because it's
23 always brought up when we talk about newborn screening
24 and I think it's already happened, but on the Hill right
25 now there is someone giving a speech, someone in

1 Congress, about the fact that we need better access to
2 medical foods, so even for PKU it is not guaranteed,
3 even if you choose for that treatment and want to pursue
4 it that you consistently have it. We have families who
5 have PKU who still struggle to get the formula.

6 So, I think it's important to know that
7 these issues are part of the newborn screening system
8 and, yes, we're all working towards it, but just to have
9 that be really clear that these issues are not new and,
10 in fact, they are a part newborn screening and why there
11 are so many other programs to support families, support
12 states, this isn't a one and done. That it's evolving.

13 DR. CALONGE: Jennifer, did you have another
14 comment?

15 DR. KWON: Yes. I can't find the actual
16 2006 article that came out in the ACMG when this
17 Committee was brought together, but I think that we all
18 struggle to remember that newborn screening currently is
19 for the best interest of the child and so when there are
20 treatments that are highly effective and are lightly to
21 make a significant difference in the life of that child,
22 then I do think that the community medical family, et
23 cetera, come together to try to make sure that those
24 resources and treatments are available.

25 And so, when treatments are effective, it is

1 very rare that we have issues with parental refusal, and
2 part of the reason we may be focusing perhaps to much on
3 the families who refuse treatment for Krabbe Disease is
4 because clearly the treatment is different from let's
5 say the A list of disorders that are on the newborn
6 screening panel.

7 But I think that the charge of the Committee
8 comes from that document, right? It comes from saying
9 that it's the interest of the child first. If we need
10 to look at population-based net benefits and harms, I
11 think we do have somewhat different places that we draw
12 the line and set our threshold and you've already heard
13 that, but I think we start from that basic beginning and
14 then have our different experiences that come into it.

15 DR. CALONGE: For one moment, Shawn. As
16 somebody who worked in state public health for nine
17 years and I'm now in my tenth year, I think, for me,
18 there is a big struggle at the interface between public
19 health and all too rare diseases. The ability to have a
20 population health impact in very rare disease doesn't
21 fit within the public health framework.

22 I'm not saying it's not valuable or we
23 shouldn't do it, but you recognize there is an automatic
24 conflict between thinking about the burden of health for
25 a state on the whole and the resources you bring to bear

1 on moving the health of the entire state forward and
2 where does that get impacted when the number of people
3 impacted gets smaller and smaller.

4 Tom Friedman, I think, a lot of people would
5 argue was a pretty good director of CDC and basically if
6 it didn't impact lots and lots of people, he said we're
7 not going to pay attention to it. And I'm not saying
8 that's right or wrong, but it's attention around public
9 health and the public health system when that system is
10 used to address individual disease.

11 Now, the reason why we do newborn screening
12 in public health is that's the way to get the entire
13 population. So, we have a population-based screening
14 strategy that overall helps lots and lots and lots of
15 kids and in any one condition helps very few, and Krabbe
16 is an area where it's very few. So, that to me, for my
17 own decision-making, will always be an issue, a strategy
18 about I have to think in a tax limitation state of
19 opportunity costs of where other places funds could go,
20 even though we charge the hospitals more, in Colorado
21 it's under the same rubric of you can only expand
22 spending so much. That's not anyone else's problem, but
23 that's my problem and so I'm always thinking about
24 opportunity costs and if we do this what are we not
25 going to do? And I realize that's not a problem a lot

1 of people think of, but it's one that we live with in
2 public health in many places and I live with really
3 every day in Colorado. So, I'm just telling you about
4 the tension.

5 The other thing I want to point out is that
6 I get the issue that there were six deaths that didn't
7 occur because of transplantation. I have to tell you
8 from a population standpoint, that is a dramatically
9 thin dataset. That is a very small amount of data on
10 which to base a population-based decision and you
11 remember there were confidence intervals around there
12 where it went down to less than a complete life, which
13 is not a possibility, but it's a statistical
14 measurement.

15 And so, even my certainty around the
16 significant benefit is challenged a bit by the small
17 numbers and the math, and so I just wanted to comment.
18 I mean he said it's a significant benefit and I said,
19 yes, based on a very small evidence-base. Shawn. I'm
20 sorry. Paula.

21 DR. CAPOSINO: Thanks. Paula Caposino from
22 the FDA. I have a question. If a state implements this
23 for infantile Krabbe, does that make the diagnostic
24 odyssey for the late onset Krabbe babies more difficult
25 or is that still something that's going to be picked up

1 irrespective of the screening program?

2 DR. KWON: I think I can answer that. If a
3 state lab calls out Krabbe results according to this
4 algorithm, then those who have late onset Krabbe Disease
5 will never know that they have late onset Krabbe
6 Disease. And so, if they develop symptoms, then someone
7 will need to be aware that late onset Krabbe is not
8 being screened for and they should think of that as a
9 diagnosis.

10 An analogy might be to SMA screening, which
11 screens for homozygous deletion of the SMN1 gene, which
12 accounts for probably just over 95%, maybe more of cases
13 of SMA, but there is a percentage of cases that we don't
14 diagnose by newborn screening we have to be aware of
15 every time we see a hypertonic baby and not just assume
16 that everyone was screened and so we don't have to think
17 about SMA. I hope that answers your question.

18 DR. CALONGE: Shawn, I think you had
19 something to add?

20 DR. KWON: it doesn't look like he did.

21 DR. MCCANDLESS: I do want to add to what
22 Dr. Kwon said, which is that that's a concern that's
23 been raised for a variety of conditions as they're added
24 to newborn screening because of the concern that
25 pediatricians will assume that the disease has been

1 ruled out completely and not because they don't fully
2 understand the implications of a screening test.

3 The fact that there are these long
4 diagnostic odysseys already shows that as a group of
5 physicians we're not always that great at thinking of
6 the entire differential diagnosis, so I think that's a
7 hypothetical concern, but I would be very, very hesitant
8 to consider that a potential harm of the proposal that's
9 on the table today because I just am not aware of
10 evidence to document that, at least compelling evidence.

11 So, it's a reasonable thought, but I would encourage
12 the Committee not to think of that as a potential harm
13 related to the decision we have to make today because
14 there just aren't data to support it one way or the
15 other. So, it's a fair thought, but not maybe one that
16 I would recommend we take into consideration today.

17 And may I continue with a couple of other
18 thoughts responding to earlier comments? Several
19 comments have been made that by screening we're giving
20 families choices, but I think it's also important to
21 keep in mind that by screening we are taking away choice
22 from other families. And Dr. Goldenberg alluded to this
23 yesterday in data he represented, and I have person
24 experience with this, of families that are diagnosed
25 with this particular condition in Ohio who felt very

1 angry and upset that their choice of enjoying their
2 normal baby for the first several months of their life
3 was taken away from them by the newborn screening
4 program.

5 So again, I don't disagree with any of the
6 points that have been made or the arguments that have
7 been made today. I think this is a very challenging
8 discussion, but I just think that we have to be really
9 thoughtful about all of the potential, unintended and
10 intended consequences of the decisions that we make and
11 remember that regardless of what we decide someone is
12 not going to have a choice.

13 The other thing I just have to come back to
14 is that there are very few public health mandates in the
15 United States that you don't get to choose, that are
16 compulsory and newborn screening is one of them. And
17 the basis for that, starting in the sixties, as I
18 understand it, was the reasonable person argument. That
19 a reasonable person would not be able to make an
20 argument for not treating that condition and I think
21 that is, in large part, what underlies public support
22 for mandatory newborn screening and that the more we get
23 away from that maybe that's the right thing to do, but I
24 think we just need to be thoughtful that one unintended
25 consequence of making this kind of decision will be loss

1 of support for public support for mandatory newborn
2 screening. And I believe that the mandatory nature of
3 newborn screening is one of the most compelling
4 arguments that nominators bring to this Committee for
5 why it needs to be in newborn screening, so that no baby
6 is missed.

7 If we lose our public mandate for mandatory
8 screening, then we will lose that benefit for everyone.

9 Again, maybe that's beyond the scope of this discussion
10 and this decision-making, but I feel like -- this is
11 clearly not black and white. If the question before us
12 today were should every parent be given information and
13 have the choice to have screening done, there's no doubt
14 in my mind the answer would be unanimous yes. That we
15 would like every family to be able to choose for
16 themselves whether to have this for a variety of reasons
17 that's not popular and not felt to be acceptable.

18 Carrier screening is not felt to be a viable
19 option. This would be a great example of where carrier
20 screening would be valuable. I think it's really
21 complicated. I think the decision is difficult. I just
22 want to be really clear that when Dr. Kwon and I make a
23 recommendation it's not because we disagree with
24 anything that people are saying. We have different
25 concerns, different values, and also, I'm not trying to

1 convince anybody that I'm right because I'm not sure I
2 am, but I have my way of thinking about things, and I
3 wanted to share that with people.

4 DR. CALONGE: Michele.

5 DR. CAGGANA: Thanks for that and for all
6 the great discussion today. I just want to remind
7 people that we always talk about PKU as the star of
8 newborn screening, and in reality, when we began
9 compulsory screening for PKU the AAP came out against
10 screening and time changes and opinion shifts and now
11 it's held up there as a success story that everyone gets
12 taught about, whether you're in genetics class or
13 medical school.

14 The other thing related to the net benefit
15 and the number of children impacted there's many
16 conditions on the current panel that exceedingly rare.
17 We don't find in New York for one, two, three years.
18 For example, GAMT Deficiency. We began screening on
19 October 1, 2018. We've picked up one child. I think
20 the same in Utah who began screening before us or picked
21 up a baby before us.

22 Other conditions like homocystinuria have
23 been on the panel since the eighties, at least in New
24 York, and we find one every year or two. And so, I
25 don't know that it's fair to talk about numbers. It's a

1 rare disease, a lot of effort has gone into trying to
2 develop the best possible algorithm to get these
3 children into care as soon as possible and I think on
4 some level it's actually a benefit that there's so few
5 infants because that allows us to focus our efforts on
6 those few families and be able to assist. As we
7 mentioned, the treatment centers are very involved in
8 helping out to make sure these kids get seen and into
9 care and formal diagnosis and everything necessary for a
10 transplant as soon as possible. And so, I do think a
11 lot of the pieces are in place. Thank you.

12 DR. CALONGE: Thanks, Michele. I appreciate
13 that. Natasha.

14 MS. BONHOMME: Natasha Bonhomme, Genetic
15 Alliance. I appreciate the conversation. It definitely
16 seems like outside of this vote there are a number of
17 conversations that this Committee may want to take up in
18 terms of the themes that have been discussed within this
19 vote. I did want to build upon what Michele just said
20 in terms of rare diseases and, yes, there are small
21 numbers, but there are 30 million Americans who have
22 rare diseases. So, let's think about which numbers we
23 want to include in the dialogue and when we're talking
24 about which numbers and the impact of that.

25 And I have to say that I don't know if

1 concerning is the right word, but it's interesting to be
2 talking about such small numbers because we're talking
3 about rare diseases. So, if we're not talking about
4 that, then what are we talking about, right? What is
5 the purpose of this Committee and where is its focus?
6 So, I just think that these themes that have come up are
7 not just Krabbe related, and they're not just related to
8 this vote. They're related to, I would they, the work
9 of this Committee and the work that is connected to
10 newborn screening overall, so again, it's not just about
11 Krabbe.

12 DR. CALONGE: Thanks, Natasha. Robert. Bob
13 Ostrander.

14 DR. OSTRANDER: Robert Ostrander.

15 (Audio difficulty.)

16 DR. CALONGE: Bob? Bob, we can't understand
17 you.

18 DR. OSTRANDER: I'm sorry. I'm stuck here
19 in the airport. Never mind.

20 DR. CALONGE: I would, at this point, like
21 to entertain a motion. The Advisory Committee on
22 Heritable Disorders of Newborns and Children recommends
23 adding infantile Krabbe Disease as defined by low GALC
24 enzyme activity and psychosine greater than 10 nm for
25 inclusion as a core condition on the RUSP. Is someone

1 interested in making that motion?

2 DR. MCCANDLESS: Shawn McCandless, Committee
3 Member. I make the motion.

4 DR. CALONGE: Thanks, Shawn. Is there a
5 second?

6 DR. KWON: I second.

7 DR. CALONGE: Thanks, Jennifer.

8 DR. MCCANDLESS: If the vote goes the wrong
9 way, we want to be on record as having at least moved
10 and seconded .

11 (Laughter)

12 DR. CALONGE: Is there any further
13 discussion?

14 (No response)

15 **Vote On Whether or Not To Recommend Krabbe Disease For**
16 **Inclusion On The Recommended Uniform Screening Panel**

17 DR. CALONGE: Seeing no further discussion,
18 I really do appreciate the vulnerability people showed,
19 the thoughtfulness people put into their statements, and
20 I want to underlie the understanding and hope that
21 people respect people for the values and experiences
22 they bring to the table, their willingness to discuss
23 them in open forum, and to have them visible to people
24 who disagree and then to do that in a respectful way.
25 I'd like to hold that and keep it in mind going forward,

1 regardless of the outcome of the vote, or the individual
2 voting decisions that people around the table and online
3 make. With that, I wonder if I could turn to Leticia
4 for a roll call vote.

5 CDR. MANNING: Thank you. From the agency
6 for Healthcare Research and Quality, Kamila Mistry.

7 DR. MISTRY: Here.

8 CDR. MANNING: I'm sorry.

9 DR. CALONGE: Would you please answer, yes,
10 you approve the motion or no. Thank you.

11 DR. MISTRY: Yes.

12 DR. CALONGE: Thank you.

13 CDR. MANNING: Michele Caggana?

14 DR. CAGGANA: Yes.

15 CDR. MANNING: Carla Cuthbert?

16 DR. CUTHBERT: Yes.

17 CDR. MANNING: Jannine Cody.

18 DR. CODY: Yes.

19 CDR. MANNING: Christine Dorley?

20 DR. DORLEY: Yes.

21 CDR. MANNING: From the Food and Drug
22 Administration, Paula Caposino?

23 DR. CAPOSINO: Yes.

24 CDR. MANNING: From the Health Resources and
25 Services Administration, Micheal Warren?

1 DR. WARREN: Yes.

2 CDR. MANNING: Jennifer Kwon?

3 DR. KWON: No.

4 CDR. MANNING: Ash Lal?

5 DR. LAL: Yes.

6 CDR. MANNING: Shawn McCandless?

7 DR. MCCANDLESS: No.

8 CDR. MANNING: From the National Institute
9 of Health, Melissa Parisi?

10 DR. PARISI: Yes.

11 CDR. MANNING: Chanika Phornphutkul?

12 DR. PHORNPHTKUL: Yes.

13 CDR. MANNING: And Ned Calonge?

14 DR. CALONGE: No. The result of the vote?
15 The result of the vote is 10 to three, so the Committee
16 has voted in favor of recommending Krabbe Disease to the
17 RUSP. I will prepare a letter for the Secretary with
18 the recommendation from the Advisory Committee. Please
19 remember that the Secretary makes the final decision on
20 whether or not to accept the Committee's recommendation.

21 This decision will be posted on the Committee's
22 website.

23 I'd like to thank everyone involved in the
24 nomination, the evidence-based review, and
25 decision-making process, including members of the

1 Committee, the ERG, the Technical Expert Panel, and of
2 course, my fellow Committee Members, our organizational
3 representatives, and the fine staff of HRSA that put
4 together such a great meeting.

5 Finally, I again want to thank the members
6 of the public, advocates, and family members alike for
7 your willingness to come here to help the Committee make
8 its decision and to move newborn screening forward.

9 At this time, I understand we're not going
10 to go with APHL presentation today, which is good. I
11 would ask if there is any additional new business to
12 bring in front of the Committee today?

13 (No response)

14 **New Business**

15 DR. CALONGE: Seeing none, I would thank
16 everybody I just thanked a second time, especially the
17 folks who helped get us here and get us back home, help
18 set up the meeting, that wonderful staff that Michael,
19 Jeff, and the rest of the teams put together to help
20 these meetings move smoothly, almost smoothly
21 completely. And I want to thank our AV folks as well
22 because it really was about as good as it's ever gone
23 since I've been here, so thank you so much. We will be
24 meeting again in May. And if I could remember those
25 days, that would be miraculous. There it is the 9th and

1 10th. It is a miracle, so thanks again and safe travels
2 for all of you getting back home. Thanks.

3 (Whereupon, the meeting was adjourned at
4 2:28 p.m.)