

1  
2  
3  
4 THE ADVISORY COMMITTEE ON HERITABLE DISORDERS IN NEWBORNS AND  
5 CHILDREN  
6 IN-PERSON/WEBINAR  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16

17 HRSA HEADQUARTERS  
18 5600 FISHERS LANE  
19 ROCKVILLE, MARYLAND 20852 (Pavilion)  
20 Friday, May 10, 2024  
21  
22  
23  
24  
25  
26

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25  
26  
27

**COMMITTEE MEMBERS:**

**Ned Calonge, MD, MPH (Chairperson)**

Associate Dean for Public Health Practice

Colorado School of Public Health

**Jennifer Kwon, MD, MPH, FAAN**

Director, Pediatric Neuromuscular Program

American Family Children's Hospital

Professor of Child Neurology

University of Wisconsin School of Medicine

**Michele Caggana, ScD**

Deputy Director, Division of Genetics

New York Department of Health

**Ashutosh Lal, MD**

Professor of Clinical Pediatrics

University of California San Francisco

(UCSF) School of Medicine

**Jannine Cody, PhD**

Professor, Department of Pediatrics

Director, Chromosome 18 Clinical Research Center

Founder and President

The Chromosome 18 Registry & Research Society

**COMMITTEE MEMBERS**

(CONTINUED)

**Shawn McCandless, MD**

Professor, Department of Pediatrics  
Head, Section of Genetics and Metabolism  
University of Colorado Anschutz Medical Campus  
Children's Hospital Colorado

**Christine Dorley, PhD, MS**

Assistant Director, Laboratory Services  
Tennessee Department of Health

**Chanika Phornphutkul, MD, FACMG**

Professor of Pediatrics and Pathology and  
Laboratory Medicine and Genetics  
Director, Division of Human Genetics  
Department of Pediatrics  
Brown University  
Hasbro Children's Hospital / Rhode Island Hospital

**EX - OFFICIO MEMBERS**

**Agency for Healthcare Research & Quality**

*Kamila B. Mistry, Ph, MPH*  
Senior Advisor

Child Health and Quality Improvement

**Health Resources & Services Administration**

*Michael Warren, MD, MPH, FAAP*  
Associate Administrator

*Maternal and Child Health Bureau*

*Jeff Brosco, MD*  
Director, Division of Services for Children with Special Health  
Needs

**Food and Drug Administration**

*Paula Caposino, PhD*  
Acting Deputy Director, Division of Chemistry and Toxicology  
Devices

Office of In Vitro Diagnostics

**Centers for Disease Control and Prevention**

*Carla Cuthbert, PhD*  
Chief, Newborn Screening and Molecular Biology Branch

Division of Laboratory Sciences  
National Center for Environmental Health

1       **National Institute of Health**

2       *Melissa Parisi, MD*  
3       Chief, Intellectual & Developmental Disabilities Branch

4  
5                               **DESIGNATED FEDERAL OFFICIAL**

6       **CDR Leticia Manning, MPH**  
7       Health Resources and Services Administration  
8       Genetic Services Branch  
9       Maternal and Child Health Bureau

10  
11                               **ORGANIZATIONAL REPRESENTATIVES**

12  
13       **American Academy of Family Physicians**

14       *Robert Ostrander, MD*  
15       Valley View Family Practice

16  
17       **Association of Public Health Laboratories**

18       *Susan M. Tanksley, PhD*  
19       Deputy Laboratory Director, Texas Dept of State Health Services  
20       Laboratory

21       **American Academy of Pediatrics**

22       *Debra Freedenberg, MD, PhD*  
23       Medical Director, Newborn Screening and Genetics, Community  
24       Health Improvement Texas Department of State Health Services

25

26

1  
2                                   **ORGANIZATIONAL REPRESENTATIVES**

3                                   (Continued)

4  
5           **Association of State & Territorial Health**

6           *Scott M. Shone, PhD, HCLD(ABB)*  
7           Laboratory Director, Division of Public Health, NC State  
8           Laboratory of Public Health, NC Department of Health and Human  
9           Services

10  
11           **American College of Medical Genetics & Genomics**

12           *Cynthia Powell, MD*  
13           Professor of Pediatrics and Genetics  
14           Director, Medical Genetics Residency Program Pediatric Genetics  
15           and Metabolism  
16           The University of North Carolina at Chapel Hill

17  
18           **American College of Obstetricians & Gynecologists**

19           *Steven J. Ralston, MD, MPH*  
20           Maternal and Child Health Director (retired)

21           *Dr. Mara Black*  
22           Maternal Fetal Medicine-Genetics Fellow, Department of Gynecology  
23           and Obstetrics, Johns Hopkins Hospital

24  
25           **Association of Maternal & Child Health Programs**

26

## 1 ORGANIZATIONAL REPRESENTATIVES

2 (Continued)

3  
4 **Child Neurology Society**5 *Margie Ream, MD, PhD*  
6 Associate Professor, Director, Leukodystrophy Care Clinic  
7 Director, Child Neurology Residency Program,  
8 Nationwide Children's Hospital, Division of Neurology9  
10 **National Society of Genetic Counselors**11 *Cate Walsh Vockley, MS, LCGC*  
12 Senior Genetic Counselor, Division of Medical Genetics, UPMC  
13 Children's Hospital of Pittsburgh14  
15 **Department of Defense**16 *Jacob Hogue, MD*  
17 Lieutenant Colonel, Medical Corps, U.S. Army, Chief, Genetics,  
18 Madigan Army Medical Center19  
20 **Genetic Alliance**21 *Natasha Bonhomme*  
22 Vice President of Strategic Development

23

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23

## ORGANIZATIONAL REPRESENTATIVES

(Continued)

### **March of Dimes**

*Siobhan Dolan, MD, MPH, MBA*  
Professor and Vice-Chair, Genetics and Geonomics Department of  
Obstetrics, Gynecology, and Reproductive Science, Icahn School  
of Medicine at Mount Sinai

### **Society for Inherited Metabolic Disorders**

*Susan A. Berry, MD*  
Professor, Division of Genetics and Metabolism, Department of  
Pediatrics, University of Minnesota



## P R O C E E D I N G S

**Welcome, Roll Call, Opening Remarks, and Committee  
Business**

1  
2  
3  
4  
5 NED CALONGE: Good morning. Welcome back to day two of  
6 the 2024 Meeting of the Advisory Committee of Heritable Disorders  
7 in Newborns and Children. I'm Ned Calonge, the Committee Chair.  
8 I am looking forward to another good day of discussions,  
9 presentations and information.

10 I'm going to turn things over to Leticia Manning, our  
11 Designated Federal Officer to do the roll call.

12 LETICIA MANNING: Thank you. Good morning, everyone.  
13 All right. I'm going to start with the roll call. From the  
14 Agency for Healthcare Research and Quality Kamila Mistry?

15 KAMILA MISTRY: Here.

16 LETICIA MANNING: Michele Caggana?

17 MICHELE CAGGANA: Here.

18 LETICIA MANNING: Ned Calonge?

19 NED CALONGE: I'm here.

20 LETICIA MANNING: Centers for Disease Control and  
21 Prevention, Carla Cuthbert?

22 CARLA CUTHBERT: I'm here.

23 LETICIA MANNING: Jannine Code?

24 JANNINE CODY: Here.

25 LETICIA MANNING: Christine Dorley? From the Food and  
26 Drug Administration, Paula Caposino?

27 PAULA CAPOSINO: Here.

1 LETICIA MANNING: From the Health Resources and  
2 Services Administration, Jeff Brosco?

3 JEFF BROSCO: Here.

4 LETICIA MANNING: Jennifer Kwon?

5 JENNIFER KWON: Here.

6 LETICIA MANNING: Ash Lal?

7 ASHUTOSH LAL: Here.

8 LETICIA MANNING: Shawn McCandless?

9 SHAWN MCCANDLESS: Here.

10 LETICIA MANNING: From the National Institute of  
11 Health, Melissa Parisi?

12 MELISSA PARISI: Here.

13 LETICIA MANNING: And Chanika Phornphutkul?

14 CHANIKA PHORNPHTKUL: Here.

15 LETICIA MANNING: And for our Organizational  
16 Representatives, from the American Academy of Family Physicians  
17 Robert Ostrander?

18 ROBERT OSTRANDER: Here.

19 LETICIA MANNING: From the American Academy of  
20 Pediatrics, Debra Freedenberg? From the American College of  
21 Medical Genetics, Cindy Powell?

22 CYNTHIA POWELL: Here.

23 LETICIA MANNING: From the American College of  
24 Obstetricians and Gynecologists, Mara Black?

25 MARA BLACK: Here.

26 LETICIA MANNING: From the Association of Public Health

1 Laboratories, Susan Tanksley?

2 SUSAN TANKSLEY: Here.

3 LETICIA MANNING: From the Association of State and  
4 Territorial Health, Scott Shone?

5 SCOTT SHONE: Here.

6 LETICIA MANNING: From the Association of Women's  
7 Health Obstetric and Neonatal Nurses, Shakira Henderson? From the  
8 Child Neurology, Society Margie Ream?

9 MARGIE REAM: Here.

10 LETICIA MANNING: From the Department of Defense, Jacob  
11 Hogue? From the Genetic Alliance, Natasha Bonhomme?

12 NATASHA BONHOMME: Here.

13 LETICIA MANNING: From the March of Dimes,  
14 Siobhan Dolan?

15 SIOBHAN DOLAN: Here.

16 LETICIA MANNING: From the National Society of Genetic  
17 Counselors, Cate Walsh Vockley?

18 CATE WALSH VOCKLEY: I'm here.

19 LETICIA MANNING: And from the Society for Inherited  
20 Metabolic Disorders, Sue Berry?

21 SUSAN BERRY: Here.

22 LETICIA MANNING: Great. Thank you. Okay. So now I'm  
23 just going to go over, as a reminder, the conflict of interest  
24 reminder. Please recuse yourselves from participation in any  
25 matters that will likely affect the financial interests of any  
26 organization with which you serve as an officer. If you have any

1 questions about anything that might feel like it's a conflict of  
2 interest, please let me know, or you can email me at  
3 lmanning@HRSA.gov.

4 And so I just want to remind folks about the meeting  
5 participation. According to FACA, all Committee meetings are open  
6 to the public. If you wish to participate in the discussion,  
7 there are procedures in the Federal Register. We did have public  
8 comments yesterday. We do have a couple of public comments today,  
9 and folks registered in advance to provide these public comments.

10 Only with the advanced approval of the Chair, or DFO,  
11 may public participants question Committee members or other  
12 presenters. And any public participation will be solely at the  
13 discretion of myself, as well as the Chair.

14 So, I'm just going to give a little overview of the  
15 webinar instructions again. Remember, if you are a Committee  
16 member, or Organizational Representative that's attending  
17 virtually, you can change your name. Be sure to include your  
18 first and last name, as well as your relevant organization, so  
19 that we can easily locate you if you would like to speak.

20 If you have any issues, technology type issues, please  
21 email Emma Kelly at ekelly@lrginc.com. And as a reminder when you  
22 are promoted to be a panelist, the system will briefly log you out  
23 of the meeting, and you will automatically rejoin within 10  
24 seconds.

25 And now, I'm going to turn it back over to Ned.

26 NED CALONGE: Thanks Leticia. Again, I just want to

1 say how grateful we all are for folks who provided public comments  
2 yesterday, as well as our presenters. I thought the discussions  
3 were quite useful and continue to move the Committee ahead in our  
4 work, so thank you for yesterday.

5 We're going to first turn to the January 2024 meeting  
6 summary. I want to thank Committee members and the Organizations  
7 Representatives for reviewing the summary and providing edits.

8 The revised version was sent yesterday evening, and I  
9 want to know if there are any other additional corrections to the  
10 meeting summary before we vote to accept it? Seeing none, may I  
11 have a motion to approve the January 2024 meeting summary?

12 MICHELE CAGGANA: I move to approve the minutes.

13 NED CALONGE: Thanks.

14 JEFF BROSCO: I second.

15 NED CALONGE: Thank you, thank you. All right. Roll  
16 call vote please.

17 LETICIA MANNING: Okay. If you can just state accept  
18 or yes. So starting with Kamila Mistry?

19 KAMILA MISTRY: Yes.

20 LETICIA MANNING: Michele Caggana?

21 MICHELE CAGGANA: Yes.

22 LETICIA MANNING: Ned Calonge?

23 NED CALONGE: Yes.

24 LETICIA MANNING: Carla Cuthbert?

25 CARLA CUTHBERT: Yes.

26 LETICIA MANNING: Jannine Cody?

1 JANNINE CODY: Yes.

2 LETICIA MANNING: Christine Dorley?

3 CHRISTINE DORLEY: Accept.

4 LETICIA MANNING: Paula Caposino?

5 PAULA CAPOSINO: Yes.

6 LETICIA MANNING: Jeff Brosco?

7 JEFF BROSCO: Yes.

8 LETICIA MANNING: Jennifer Kwon?

9 JENNIFER KWON: Yes.

10 LETICIA MANNING: Ash Lal?

11 ASHUTOSH LAL: Yes.

12 LETICIA MANNING: Shawn McCandless?

13 SHAWN MCCANDLESS: Yes.

14 LETICIA MANNING: Melissa Parisi?

15 MELISSA PARISI: Yes.

16 CHR MANNING: And Chanika Phornphutkul?

17 DR PHORNPHTKUL: Yes.

18 NED CALONGE: They're adopted, and as with all meeting  
19 minutes available on the website for people to review, so thank  
20 you. Kind of a roadmap for today. We're going to start the  
21 morning with a presentation on Qualitative Evidence Synthesis,  
22 which I'm quite excited about.

23 And we're going to have, as we heard, some additional  
24 public comments. After the public comments we're going to pick up  
25 the element we lost yesterday and talk about the nomination  
26 evidence review process for further discussion. We'll break for

1 lunch, and then have newborn screening updates from APHL.

2           So, we're a little bit ahead. Has Jane joined us yet,  
3 Dr. Noyes?

4           JANE NOYES: Yes, I'm definitely here.

5           NED CALONGE: Hi Jane.

6           JANE NOYES: Hello Ned, really good to see you.

7  
8           **Qualitative Evidence Synthesis: GRADE-CERQual Approach**  
9           **For Assessing the Confidence in Synthesized Findings**

10  
11           NED CALONGE: We invited Dr. Noyes to present some work  
12 that she's done in the United Kingdom. They're addressing similar  
13 challenges as incorporating qualitative research evidence into  
14 policy decisions. In particular, how do we synthesize qualitative  
15 studies into a coherent set of conclusions across a group of  
16 studies?

17           Jane Noyes is Professor of Health and Social Services  
18 Research and Child Health in the School of Health Services, Bangor  
19 University of the UK. She's a Methodologist, Systematic Reviewer,  
20 and Primary Researcher with a particular interest in complex  
21 health and social interventions.

22           She has a particular interest in developing methods for  
23 qualitative and mixed methods evidence synthesis, and the  
24 development and evaluation of complex interventions. Jane is  
25 regularly asked by leading global organizations to provide  
26 expertise and advice, particularly in the conduct of evidence  
27 synthesis and guideline development.

1           She contributes to the Wales Evidence Center and Public  
2 Health Collaborating Unit. She's the former Co-Chair, and now  
3 member of the Cochrane Methods Executive, a member of the Cochrane  
4 Editorial Board, Co-Founder and Lead Governor of the Cochrane  
5 Qualitative and Implementation Methods Group, Editor of the  
6 Journal of Advanced Nursing, and Editor of the new Cochrane  
7 Campbell Handbook on Qualitative Evidence Synthesis.

8           With that introduction, it's so much more than I ever  
9 knew, Jane. I'd like to turn things over to you.

10          JANE NOYES: Thank you. First, can I confirm--have you  
11 got the full screen or the presenter notes?

12          NED CALONGE: We have the presenter notes.

13          JANE NOYES: Okay. So I'm going to swap because you  
14 don't want to read those. So hopefully I've swapped this out of  
15 there.

16          NED CALONGE: Yes, perfect.

17          JANE NOYES: That's absolutely brilliant. So thank you  
18 so much for this invitation. I'm absolutely delighted to present  
19 to the Committee today. I'm absolutely thrilled that the  
20 Committee is interested in thinking about incorporating more  
21 diverse sources of evidence, such as qualitative research to  
22 inform decision making and recommendations.

23           I think congratulations to the Committee because you're  
24 ahead of the curve in this respect, and you could innovate  
25 guideline development in this area. Qualitative research can help  
26 with understanding the experience, the perceptions, the behaviors,



1 and the actions of people through spoken words, and through using  
2 vigorous methods to understand that behavior, et cetera.

3 This evidence can be collated and analyzed and used to  
4 inform decision making processes and is particularly valuable in  
5 understanding the various perspectives of those living with, or at  
6 risk of a heritable disease, as well as those people tasked with  
7 delivering services, treatments and interventions.

8 So, guidelines of traditionally relied on evidence to  
9 address a narrow set of questions, mainly evidence of the fact.  
10 That's all right to a certain point, but it means that guidelines  
11 are actually developed with a very narrow perspective. And  
12 congratulations to the panel for wanting to think outside the box,  
13 and to think how more broadly, how to include the perspectives of  
14 patients, their families and the public.

15 So qualitative evidence can inform key parts of the  
16 evidence to decision process. Qualitative evidence can help you  
17 with identifying the problem. Qualitative evidence can help you  
18 with the values and preferences of the various stakeholders, and  
19 issues such as equity, equity of access, acceptability and  
20 feasibility for all perspectives, and also implementation  
21 considerations in terms of interventions and strategies that you  
22 might make some decisions on.

23 I also think that qualitative evidence can actually  
24 help you from a patient and family perspective about the priority  
25 that it is to them, so families will have their own ideas about  
26 priorities, which might be slightly different to the scientific

1 literature.

2 Also, families and patients might actually be able to  
3 provide more information through qualitative entities about the  
4 benefits and harms of options, and also how much it costs them as  
5 patients, if interventions are implemented. So qualitative  
6 evidence has a range of uses to further enhance the guideline  
7 decision making processes.

8 There are rigorous methods to qualitative evidence  
9 synthesis, including qualitative question formulation, methods for  
10 searching, tools for assessing methodological limitations in  
11 primary studies, and a range of different methods of synthesis and  
12 reporting guidelines.

13 And I've put a picture up here, and a bit of a plug for  
14 my own book that's coming out, top left is the purple one, The  
15 Qualitative Evidence Synthesis Handbook for Cochrane Campbell.  
16 There are chapters already available on the website. On the  
17 right-hand side is the WHO Guideline for Handbook Development,  
18 that includes a qualitative chapter, and a miniseries on  
19 qualitative evidence methods.

20 So there's an increasing role for qualitative research  
21 in guideline development. Just to give you an indication of that  
22 between 2020 and 2022, 18 out of 29 WHO guidelines included  
23 qualitative research to guide decision making, and has made a real  
24 difference to decision making processes and WHO making them much  
25 more grounded in the perspective of various stakeholders, but very  
26 importantly, patients and the public.

1           So just to give you some examples of qualitative  
2 studies on heritable disorders, the first pictorial box at the top  
3 is a representation of a study which was entitled Returning  
4 Genetic Information about Risk for Alcohol Use Disorder to  
5 Adolescent: Findings from a Preliminary Qualitative Study of  
6 Precision Prevention. This particular study had a convenient  
7 sample of adolescents and adults.

8           There were qualitative interviews which explored  
9 attitudes about precision prevention of alcohol use disorder for  
10 youth, they undertook a thematic analysis to explore  
11 acceptability, potential harms and benefits of a precision  
12 prevention model for youth. You can see in the middle of the  
13 interview, themes in the little box, there are some potential  
14 benefits and potential harms.

15           All of that information would have been vital to feed  
16 into a decision-making process of a panel such as yours,  
17 especially thinking about implementation. So it would have been  
18 very important evidence to have if you were thinking of  
19 implementing this particular intervention.

20           The box below is a slightly different type of study.  
21 This is a qualitative study, what do families affected by Turner's  
22 Syndrome think of a variant tissue freezing in childhood. Again,  
23 semi-structured interviews with those family members affected, to  
24 get their ideas of what they would want if there was going to be a  
25 recommendation.

26           And again, this information will be vital to go into a

1 decision-making process. These studies were published in the  
2 academic literature in journals, but that's not the only place  
3 that you can find qualitative studies. We call the third sector  
4 of organizations in the UK, I guess you probably call them NGOs,  
5 or patient support organizations.

6 I see from your roll call that's you've got many  
7 patient support organizations with you, which is fantastic, but  
8 these organizations can also conduct their own research. This is  
9 sort of a study that came from the Genetic Alliance in the UK,  
10 which was conducted with people with unique and rare conditions to  
11 get a better idea from their perspective, as to how their care  
12 could be better coordinated, and how their experience of services  
13 could be further improved.

14 Again, this is pictorially presented here, but there  
15 was a large report behind it, which could be synthesized and used  
16 to inform guideline development. It's also possible to conduct  
17 mixed method reviews, and this is one example here that I've put  
18 in, which is Parent-Child Communication and Reproductive  
19 Considerations in Families with Genetic Cancer Predisposition  
20 Syndromes.

21 This review contains qualitative, quantitative and  
22 mixed methods studies. So that provides you with sort of a bit of  
23 background context of the types of evidence that is out there.  
24 I'm going to focus on qualitative evidence now. I'm moving on to  
25 the new approach, GRADE CERQual for assessing the confidence in  
26 synthesized qualitative findings.

1           So why did we develop this approach? Well, we  
2 developed GRADE CERQual as part of a qualitative evidence  
3 synthesis that was commissioned for World Health Organization  
4 guideline, and it was the first time that the WHO panel had seen  
5 qualitative evidence integrated in the guideline panel process,  
6 and they were very familiar with GRADE.

7           So, we decided to develop a system that was very  
8 similar to GRADE for quantitative evidence, so the guideline panel  
9 would recognize it, and it starts from the similar sort of stable,  
10 but would actually give the guideline panel more members, a  
11 representation of sort of a level of confidence in the synthesized  
12 qualitative findings.

13           It's broadly similar to the domains map on to GRADE  
14 with the exception of dissemination bias. We're doing a program  
15 of research on dissemination bias and qualitative research to see  
16 if we need to develop an extra component, and we'll keep you  
17 informed of that.

18           So what happened at the panel meeting? Well, the panel  
19 members really liked GRADE CERQual, they liked having an  
20 assessment of confidence in the synthesized qualitative findings.

21           It helped them in their decision making. It's certainly meant  
22 that a broader representation of patients and public opinions, and  
23 representation of their experience was put to the panel, and it  
24 also meant the panel members refrained with chipping in with their  
25 own anecdotal experiences and trying to actually superimpose their  
26 own opinions on the decision-making process, so it's very much

1 more of an evidence informed process. So, CERQual is applied to  
2 individual synthesized findings, and there's a technical term  
3 coming up, which I'll explain in lay terms in the next slide. But  
4 what is qualitative evidence synthesis finding--technically it's  
5 an analytical output that describes the phenomenon, or an aspect  
6 of the phenomenon.

7           And the qualitative researchers amongst you will  
8 understand that. What that means in practice is that findings  
9 from qualitative evidence syntheses can be presented as themes, as  
10 categories, as theories, they can be both descriptive, or more  
11 interpretative, and I'm going to show an example next, as to what  
12 a finding looks like for those of you who are still trying to  
13 still put all of this together.

14           So, this is the end product once CERQual has been  
15 applied, and it's produced, you've produced a summary of  
16 qualitative findings tables. So this is taken from a qualitative  
17 evidence synthesis that was undertaken to determine the barriers  
18 and facilitators to the implementation of lay health worker  
19 programs for maternal and child health, so nothing to do with  
20 hereditary disorders, but just an example here to show you.

21           If you track down on the left-hand side of the box,  
22 you'll find a heading with a review finding, and there's a  
23 statement here. It's a summarized review finding, and it says  
24 while regular salaries were not part of many programs, or other  
25 monetary or non-monetary incentives, including payment to cover  
26 out of pocket expenses, and work tools, such as bicycles, uniforms

1 or identity badges were greatly appreciated by lay health workers.

2  
3 So that was the summarized finding from the synthesis.

4 Moving along, you'll see that there was an overall CERQual  
5 assessment of confidence, which was considered to be moderate, and  
6 then moving across more towards the right, there's an explanation  
7 of the CERQual assessment. This finding was graded as moderate  
8 confidence because of minor concerns regarding methodological  
9 limitations, relevance, adherence and adequacy.

10 And then on the right-hand side of the box you can see  
11 the studies contributing to the review finding are listed, so  
12 everything is transparent in order to bolster the transparent  
13 process. So, I'm going to move on now to show you how a GRADE  
14 CERQual assessment is undertaken.

15 For those of you that are new to GRADE CERQual and  
16 qualitative research, I'm going to do it in an easy-to-understand  
17 pictorial way, so I'm going to represent this graphically, so it's  
18 much easier to conceptualize. For the qualitative researchers  
19 amongst you this will all be, you know, a piece of cake, so please  
20 bear with us and let's hope that we can get everybody on the same  
21 page.

22 So here is the scenario. Decision makers are  
23 considering a new healthcare service, but before they introduce it  
24 they want to know whether those affected, including patients and  
25 healthcare workers are likely to accept it. Our review of  
26 qualitative research is commissioned and conducted, and one of the

1 findings describes women's experiences of intervention.

2           And the question is how much confidence should we  
3 decide to place in that finding? So let's go through each of the  
4 CERQual components. So then to start off, you're going to see  
5 some pictures emerging into the frame, and each picture represents  
6 a study and a perspective.

7           Now, ideally what you want is a range of high-quality  
8 studies that represent different perspectives that map on to your  
9 review question. And you can see here I'm putting studies that  
10 are represented by pictures into the frame. There's a range of  
11 different perspectives and I've listed here, which is ideally what  
12 you want.

13           You want lots of theoretically sampled perspectives  
14 that represent all the people that have had intervention but  
15 mirrors the population that have received intervention as a whole.

16           That's the ideal, but quite often the reality is very different,  
17 you know, there are a number of things that you needed to take  
18 into consideration. We're going to go through those one by one.

19           The first thing, I'm going to take some studies away,  
20 now some pictures away. The first thing is that you often get  
21 poorly conducted studies, so I am replacing some of the well  
22 conducted paintings with some less conducted paintings. You could  
23 see that these are methodologically much weaker.

24           We have tools to assess the methodological limitations  
25 in primary qualitative studies. You assess all the studies  
26 contributing to synthesized finding, and you would actually come



1 up with an overall assessment of concerns at each study level to  
2 start with, and then of the collective studies that contribute to  
3 a review finding.

4 And that's called an assessment of methodological  
5 limitations. It's very similar to the risk of bias assessment if  
6 you're using GRADE. So let's take away those studies that are  
7 weak methodologically, and let's move on to the next component.  
8 I'm going to look at relevance next, and indirect relevance.

9 You may well find that some of the studies ask the same  
10 questions, they're interested in women's experiences. But the  
11 studies themselves maybe actually conducted with men or healthcare  
12 workers, who have given their own experiences of what the women's  
13 experiences are, so they're indirect accounts of the women's  
14 experiences.

15 That's not as good as asking the women themselves, but  
16 if you're in a situation where you haven't got enough studies,  
17 well then you have the direct evidence from the women. And  
18 sometimes it's helpful to have a proxy perspective, but not  
19 always. But ideally you would like direct evidence, but you don't  
20 always get it.

21 So it is a concern if the studies aren't directly  
22 relevant to your question. They don't actually explore the  
23 women's perspectives themselves. So, let's take the men and the  
24 healthcare workers away, and have another type of relevance,  
25 partial relevance. I'm going to add some more pictures now. You  
26 may well find that some of the stuff is only relevant to part of

1 the question that you're interested in, and they don't represent  
2 the women, the sort of global women around the world from some  
3 settings.

4 And this is actually represented as partial relevance.

5 You can see, I did some pictures with what like very well to do  
6 women, but don't globally represent the women in more settings.  
7 It's still okay to include them, but you would actually need to  
8 think about what concerns that might actually raise when you're  
9 doing your overall CERQual assessment, so that's partial  
10 relevance.

11 I'm going to move on now to coherence. So a third  
12 issue is the fit between the underlying data and the finding  
13 itself, and we refer to that as coherence. In some findings they  
14 may be more or less a better fit with the data that supports those  
15 findings. And you can that I've superimposed here a small  
16 picture, which looks very much like the studies, of the pictures  
17 underlying it, so this is a very coherent picture.

18 And you could say that you wouldn't have concerns about  
19 coherence here. But sometimes that isn't the case, that the  
20 finding doesn't represent the coherent picture. And you may well  
21 find that you could actually isolate parts of the data, so I'm  
22 going to put up a smaller picture now. This is a finding with a  
23 smaller subset of studies or paintings that represent a smaller  
24 finding, a smaller context.

25 This might be simpler and clearer but might not be so  
26 coherent as it doesn't represent all the data underlying it. So

1 this can be a particular problem. It may not be, but it could be,  
2 it depends on the context. But coherence might be an issue you  
3 want to think about.

4 The last case with coherence is if you're developing a  
5 more interpretative finding that points to developing new patterns  
6 or new theories, which is quite common in qualitative evidence  
7 synthesis, that once you assemble the studies together, you begin  
8 to see new patterns and new meanings that weren't actually there  
9 in the original study, so you can come up with new  
10 interpretations, that are more abstract or theoretical.

11 And you can see here that I've superimposed a smaller  
12 picture with a more abstract interpretation of the underlying  
13 data. And that could flag some possible concerns about coherence  
14 that you'd need to think about. So I'm going to take that away  
15 now.

16 The last issue is adequacy, and I'm going to take some  
17 studies away, and the fewer studies that you have you begin to  
18 worry about adequacy. You need a specific, you know, a certain  
19 amount of data, adequate data, to support the finding. That's the  
20 first element that you would need to consider. The second element  
21 around adequacy is the richness of the data, and I'm returning  
22 some pictures to the frame that aren't rich. They're in  
23 grayscale, they don't give a particularly good or deep  
24 understanding.

25 It's very difficult to make out what the picture is  
26 actually telling you. So these data is not sufficiently rich to

1 explore and understand the pattern that's emerging that would  
2 develop into a finding, and this would actually cause you some  
3 concerns.

4 Okay. So for each CERQual component, you would need to  
5 identify your concerns, and whether these are no or very minor  
6 concerns, or an understanding that no qualitative study is  
7 perfect, so you need to take sort of a pragmatic approach here.  
8 So there might be no, or very minor concerns, minor concerns,  
9 moderate concerns or serious concerns.

10 And then you take those assessments to each of the  
11 components to come up with a combined assessment, an overall  
12 assessment that combines the four components together, and that's  
13 the assessment of competence. And you can have high confidence,  
14 moderate confidence, low confidence, or very low confidence.

15 And that's what feeds into the table that we looked at  
16 just now, and this is the table that would go to the guideline  
17 development or the guideline development committee, the panel, and  
18 this is the type which will actually feed in, in a transparent way  
19 to help with decision making.

20 So finally, if you want to learn more about GRADE  
21 CERQual, there are papers published in the academic literature.  
22 We have a website, and we do quite regular trainings, and I would  
23 be delighted if you were more interested and wanted to learn more.

24  
25 So I'm going to stop sharing my slide presentation now,  
26 so we can open up to some questions.

1  
2 **Committee Discussion**  
3

4 NED CALONGE: Thanks very much, Dr. Noyes.  
5 We're going to open up for questions here, and our process is,  
6 just so you know, is that we have our panel members asking  
7 questions first, and then Organizational Representatives, as we  
8 deplete the questions from the panel.

9 So, the first question I see comes from Jennifer Kwon.

10 JENNIFER KWON: Thank you so much for this informative  
11 talk. The newborn screening program that we're considering now  
12 for inclusion for U.S. wide newborn screening is for Duchenne  
13 Muscular Dystrophy, and one of the things that hasn't appeared in  
14 the evidence review is the fact that Duchenne Muscular Dystrophy  
15 has been screened in newborns in many different parts of the world  
16 since the 1970's.

17 And in many of those regions there has been some  
18 qualitative research to sort of explore family responses, and then  
19 I just also think so there's that piece. But of course, it's in a  
20 different time with different treatment options. And then there's  
21 just the fact that there are probably, there may be articles about  
22 why the program's stopped running.

23 So I think for example in Wales, that was one of the  
24 more recent long-running programs that stopped running after a  
25 couple of decades, and there were some articles written about  
26 that. If you were considering Duchenne Muscular Dystrophy as a

1 new newborn screening enterprise, how might you look at those past  
2 papers, and those past qualitative studies to maybe inform current  
3 decision making? I know that's a pretty broad question, but I was  
4 curious about your take on it.

5 JANE NOYES: Yeah. Those are excellent questions. And  
6 I actually live in Wales, so that program is very familiar to me,  
7 so where you start with a qualitative evidence synthesis, and how  
8 you shape out your question is fundamental. I think the  
9 historical context can be really important, depending on your  
10 question.

11 But also, so is contemporary practice, so it's the  
12 decisions that you have to make when you're actually shaping out  
13 the questions. So I focused today on GRADE CERQual, but I could  
14 equally have done a whole presentation on developing answerable  
15 questions, working with key stakeholders to articulate what their  
16 priorities are, and what might be important.

17 And the first stage of that is always doing your  
18 scoping searches to see what evidence is out there. And you know,  
19 going from that. So, I would say both would be really important.

20 If you've got the time and energy to do it. Both would give you  
21 different perspectives, and both would actually probably feed into  
22 your decision-making process.

23 If I was to push what would be the priority, the  
24 contemporary practice, and starting with the most recent evidence  
25 first, I think probably is the best bet to start, or if there is a  
26 seminal policy change, and you want to work forwards or backwards

1 from that, that might be helpful as well.

2           Going too far back I think is problematic, because you  
3 know, policies and practice changes too much, and people's  
4 experiences 20-30 years ago probably wouldn't be contemporary to  
5 now but might give you a flavor of some of the issues that they  
6 faced, and whether they changed or not.

7           NED CALONGE: Other questions? Jane? Sorry, go ahead.

8           KAMILA MISTRY: This is Kamila. I have a question.  
9 Thank you for a wonderful presentation. I had a quick question.  
10 You know, when we were looking at qualitative and quantitative  
11 together, kind of side by side, we tend to look at them in silos.  
12 And I think when we're trying to make decisions it's almost as  
13 though, you know, this Committee and others, you know, sort of  
14 thinking about both types of information, we need to be able to--  
15 I mean our minds tend to want to weigh them.

16           And I don't know, you know, I think I loved the last  
17 slide where you actually showed us, you know, the type of  
18 information that the Committee would get, or someone would get, in  
19 terms of trying to understand I was going to say strength of the  
20 evidence, that's more of a quantitative way of saying it. But in  
21 any case, how do you think about that in the work that you're  
22 doing?

23           There's always, I feel like the gold standard is always  
24 the quantitative in some ways in our mind, and how do we work away  
25 from that? And as a Committee really try to understand the  
26 distinct, maybe advantages or strengths that we're going to get

1 from qualitative that we might not get from the quantitative.

2 And so, maybe that would help us to think about them  
3 together. Anyway, I'm sure, Dr. Noyes, you've thought about this  
4 a great deal. That would be really valuable for us to understand.

5 JANE NOYES: Sure. And again, I could have done a  
6 whole presentation on this. So in Cochrane, we have developed  
7 methods to integrating quantitative and qualitative and data  
8 juxtaposing it, putting it into matrixes. The sorts of things  
9 that you can do with that, you can identify where sort of the  
10 outcomes of targeted interventions of the outcomes, the patients  
11 and their family members want, or feel that are most important.

12 You can think about the components of interventions,  
13 and whether they're actually targeting the behaviors of people, et  
14 cetera. So that's one thing. You can do an integration of the  
15 qualitative and the quantitative. And we do that quite a lot. If  
16 there's a quantitative intervention, a fact review, we will do a  
17 qualitative evidence synthesis to supplement it, and then do a  
18 data integration of the two syntheses.

19 The second thing is that in most guideline panels  
20 there's an evidence to decision framework. I commonly work with  
21 the DECIDE framework, which is part of the GRADE suite of tools.  
22 WHO have their own evidence to decision frameworks. At most those  
23 actually serve as frameworks to integrate the evidence in a  
24 coherent way to present to the guideline panel.

25 And I do think that, you know, the guideline panel  
26 members do need some training on how to look at the qualitative



1 evidence, to determine what it's saying, how it might be useful,  
2 how it might be usefully used.

3 And I think that, you know, the WHO panels for example,  
4 are very experienced using qualitative evidence, and they can see  
5 the value and the benefits of actually integrating it into the  
6 decision-making process without getting caught up in, you know,  
7 it's not an effect size, so it doesn't actually mean anything.

8 So qualitative evidence can be very meaningful to panel  
9 members, very helpful. It has to be presented in a certain way  
10 that they understand it, can make sense of it, and make a decision  
11 from it, and I think that's the key thing.

12 KAMILA MISTRY: Thank you.

13 NED CALONGE: Jeff?

14 JEFF BROSCO: Jeff Brosco. It's great to see you again  
15 Jane, after our conversation a month or so ago. The sort of  
16 questions that often come up for this Committee that are  
17 particularly relevant is if a family gets information that they  
18 weren't necessarily looking for in the first place because it  
19 happened out of a newborn screening program, you know, is that  
20 good or bad?

21 Because some families worry a lot, and some families  
22 love having information. And what we've done over the last year  
23 is we started with some presentations from Aaron Goldenberg and  
24 others about the kind of qualitative research that's going on,  
25 funded through NIH and others, and then we had Beth Tarini and  
26 Sara Ackerman talking about somewhere qualitative research is

1 already, kind of an up to date of the kinds of things that matter.

2 And now you are coming to help us say how do we  
3 synthesize this to make sense of it? And I guess my question for  
4 you is can you think of an example of in your work where decisions  
5 by panels were changed, or how it influences? Are there specific  
6 ways that you can see how this was applied and made a difference  
7 in a policy that came out?

8 JANE NOYES: Sure. I haven't got experience with  
9 heritable diseases, but I'd probably make two points here that  
10 qualitative evidence synthesis, and qualitative research in  
11 general is about eliciting people's values, perspectives,  
12 experiences, et cetera, and how they make sense of it.

13 So people come from different backgrounds in all shapes  
14 and sizes, so they're not going to make sense of it in the same  
15 way. And people make very different decisions based on, you know,  
16 where they're coming from with it, and I mean we've all seen very  
17 healthy women, for example, with a normal pregnancy deciding to  
18 have an elective Cesarian section when it's not clinically  
19 indicated.

20 So understanding why they make those decisions, and you  
21 know, potentially put themselves at risk of a surgical procedure  
22 is really, really important. So you won't get one answer out of  
23 this, you know, there are multiple truths going on here, and some  
24 people will have, you know, different reactions to different  
25 scenarios in the families, or you know, different information that  
26 they're given.

1           So I think you have to accept that to start with, that  
2 there will be a range of perspectives. Have I seen where  
3 qualitative evidence makes a difference at the guideline panel?  
4 Sure. I mean, you know, I'm sure Ned can fill you in about the  
5 work that we did on disaster preparedness and response, which is a  
6 long way from where you are.

7           But nonetheless, the qualitative evidence, syntheses,  
8 and the qualitative evidence did make a difference to decision  
9 making. Probably nearer to home, I did a lot of work with WHO  
10 about risk communication, which is probably nearer to you because  
11 you have to communicate risks to families.  
12 And we looked at where there wasn't evidence of the fact, because  
13 there's a lot of scenarios that you might look at.

14           There isn't good evidence of the facts. You might have  
15 to rely on the qualitative evidence, and we developed a whole  
16 guideline on risk communication without much evidence of the  
17 facts, but with qualitative evidence of what people wanted, and  
18 what they felt you know, what people felt they actually responded  
19 best to.

20           So I'd say the WHO risk communication guideline was the  
21 best example of that, but all the guideline panels I've been  
22 involved in, the qualitative evidence has made a big decision to  
23 the decision making, or made a big impact on the decision making,  
24 especially for, you know, feeding in patient and public values and  
25 preferences.

26           Whether interventions are acceptable or feasible, and

1 there's the intervention side of it, big considerations have come  
2 through the qualitative evidence.

3 JEFF BROSCO: Thank you.

4 NED CALONGE: Jennifer, before--this is kind of  
5 related. Jane, so one of the issues I think we face on the  
6 Committee is an imbalance in the voice, so we have a rich, I would  
7 say you would call it a thick research face from families and  
8 parents who have experienced the condition within their family and  
9 have come forward as advocates for screening.

10 And so we hear, we have a lot of evidence on that side,  
11 and we have very little voice from people who were screened  
12 positive, and chose not to come forward, or not to have their  
13 child, or somehow made different decisions. Or didn't appreciate  
14 having that information, or some might have negatively reacted,  
15 which I've seen on an individual basis to suddenly finding out  
16 that their child was--their infant was tested for a condition that  
17 they never said I want my child tested for.

18 So there's a lot of information on one side of the  
19 qualitative, and there's very little on the other. And I wondered  
20 how that kind of comes out in qualitative evidence, and how you  
21 deal with that imbalance.

22 JANE NOYES: So I think the two purposes for doing a  
23 qualitative evidence synthesis, one is to synthesize what's there.

24 The second is to identify the gaps, and to develop a research  
25 agenda and put it out there in the public domain. Our researchers  
26 are pretty good at picking up the research agendas, and the

1 funders are pretty good at funding the research so that you can  
2 ultimately fill the gaps in what's known.

3 In addition to having an academic team, a review team,  
4 we do a lot of patient and public consultation, and we do do a lot  
5 of coproductions in our review. So if we do have big gaps, we do  
6 go out and, you know, do a lot of engagement with consumer groups,  
7 the patient groups, the support groups to see if we could actually  
8 fill in some of the evidence.

9 But we're very clear as to what is empirical evidence  
10 coming through published studies on what is sort of, you know, the  
11 more co-produced thoughts and opinions who are actually advising  
12 us. So there are two ways of doing it. Identify the gaps to put  
13 the research agenda out, try and plug the gaps with good patients  
14 and public involvement and wider stakeholder engagement.

15 NED CALONGE: Yeah. I appreciate that. The two  
16 studies that Jeff mentioned are actually looking at trying to  
17 address the gap and provide more information, but there will still  
18 be an imbalance I feel, but at least we'll know more, so I  
19 appreciate it. Jennifer?

20 JENNIFER KWON: So Ned, actually my question was  
21 similar to yours, but I'm going to be even more concrete, and the  
22 problem that I think I personally have with qualitative research  
23 is there are people who participate in studies, and there are  
24 people who don't. And in many ways the people who have had  
25 negative experiences, or whose experience with let's say early,  
26 presymptomatic diagnosis, which is you know, a form of experience

1 that can inform newborn screening obviously, may have taken an  
2 unusual path.

3           And you want to try to collect that. So just as an  
4 example, going back to Duchenne, I have families that I care for,  
5 and for a variety of reasons they knew that their son had Duchenne  
6 at the time they were born. They asked to find out and they knew.

7           And did this result in them taking advantage of clinical trials,  
8 or newer treatments? No. It did not.

9           And so, those families are also, you know, when I sort  
10 of gently probed about the fact that newborn screening is, you  
11 know, here, and/or is being presented, and would they like to  
12 share their experience, they're pretty clear that they don't want  
13 to share their experience.

14           And so, the way that I'm trying to sort of look at  
15 these issues, look at some of these barriers is that my particular  
16 interest is if we have more children identified presymptomatically  
17 with a disorder that really extends through their lifetime. And  
18 not only does it extend through their lifetime, but every two  
19 years there's some pretty big change in management. How do I best  
20 prepare families for this in this country where we do not have  
21 universal healthcare?

22           Where the treatment and care of children is very  
23 different than the treatment and care of adults, and so to try to  
24 put all those components together I have actually, I'm working  
25 with a qualitative researcher to interview young adults and their  
26 caregivers about their past experience and barriers, and you know,

1 what they're looking forward to in the future.

2 And because I come from a state where I happen to live  
3 in the state capitol, I'm hoping to use this as a steppingstone to  
4 present my findings, to try to change some practices in the state.

5 And so the qualitative researcher, we were talking about it, and  
6 I was shocked to find that she thought that 10 people would be  
7 like quite a lot of people to have in a study that I was thinking  
8 about.

9 And I was just sort of curious if, and I think that's  
10 part of the problem maybe that people who are more quantitative  
11 have with qualitative research is that the number seems small, and  
12 it seems likely that they, you know, how do you get at sort of the  
13 rich diversity of opinion with that small of a number, so yeah, so  
14 I'll let you chew on that. Thanks.

15 JANE NOYES: Yeah, there's a lot in there to unpack, so  
16 I also am a qualitative researcher, and I also run trials, so I  
17 can quite easily move between the two. So, a properly designed  
18 qualitative research is unique to the context and the question  
19 that you're trying to answer, so if you have a large population  
20 with lots of different opinions, you need a large sample that  
21 represents that population.

22 We call that a theoretical sample or a purposive  
23 sample, and you prespecify that, and you actually articulate all  
24 the types of characteristics in the sample that you want to  
25 recruit to, and then the sort of potential numbers, and then you  
26 think about things like data saturation, so you stop recruiting

1 when you're not getting any more data, but you've covered all the  
2 different perspectives that you think are important, to address  
3 your question.

4           The other thing is that, and I find it easier to do  
5 research if I'm independent of the clinical team. If you're a  
6 clinician that's actually managing children there's a power play  
7 there, and the power relations in qualitative research are really,  
8 really important.

9           You know, I think some of the best qualitative research  
10 sometimes comes out of the government organizations or the parent  
11 support groups because the power plays there are completely  
12 different. It's a different environment. So we often recruit via  
13 patient support groups, for example. And I'm not seen as a  
14 clinician. I go in completely as just a qualitative researcher  
15 who works independently, very independently of the clinical team.

16           And you get more people that are willing to talk to you  
17 because I think sometimes, they find it awkward if they're talking  
18 or knowing that the data is being collected by their clinical  
19 team, and then there is a sense that you know, that it can be  
20 affected by it et cetera.

21           So I think there's all sorts of issues around power and  
22 interplay between people, how comfortable people are, and to be  
23 recorded, because of course we record the conversations. It's all  
24 done in a very rigorous way. So there are lots of different  
25 things that you can do to conduct rigorous qualitative studies.  
26 And like all studies, we have difficulty with recruitment.



1           You do to trials, you do to qualitative studies, but  
2 there are ways that you can engage with through the communities to  
3 find, you know, people who represent the different perspectives  
4 and can tell their stories, and then we can look for the various  
5 patterns.

6           So, I don't know that that answers your question or  
7 not. I can appreciate as a clinician how frustrating it is when  
8 you want to know, but find it quite difficult, and then you have a  
9 qualitative researcher who doesn't quite conceptualize that you're  
10 going to have to do quite a big study to make it rigorous.

11           JENNIFER KWON: And just to reassure you, I think that  
12 for, I mean the Duchenne population in the state that I live in is  
13 somewhat finite, and so I think that we may find saturation with  
14 the number that she has suggested, and I also think that we have  
15 sort of integrated that separation as you say.

16           Like even though I'm very keen on having this study  
17 done and getting the funding for it, she was also very keen about  
18 that keeping her research separate from identification with the  
19 clinical work but thank you so much.

20           JANE NOYES: Pleasure.

21           NED CALONGE: Jane, this is Ned again. One of the  
22 challenges I remember from the emergency preparedness study was  
23 finding a group in the U.S. that knew how to do qualitative data  
24 synthesis. So, there are lots of people who are really good  
25 qualitative researchers, but the concept of synthesis across  
26 studies is at least in my institution, and as I look around, it's

1 still something that is an emerging innovation.

2           And I notice your book doesn't come out until 2025.  
3 So, I just wonder if you see an increase in the number of  
4 institutions and groups that have some experience with qualitative  
5 data synthesis that are undecided upon, we could reach out to, we  
6 could consider their skillsets and how they could benefit the  
7 Committee if that was something we wanted to move towards.

8           Not that we wouldn't want to bring you over. We would  
9 be delighted. But I was just wondering if we're getting better at  
10 this.

11           JANE NOYES: Well, the answer to that is yes, and to  
12 reassure you, there are quite a few chapters up on the website  
13 already of the book, but the whole thing won't be out until next  
14 year. So, I've worked very closely with AHRQ, they asked me to do  
15 a lot of training with them. You would all be familiar with that  
16 organization.

17           And we did I think it was five or six demonstration  
18 projects where they added a qualitative evidence synthesis to  
19 their usual guideline development work. And they did brilliantly,  
20 and they got the reviews published, and I'm currently working with  
21 some of them to do subsequent qualitative evidence syntheses.

22           So they know our building capacity and capability in  
23 their area. There is a series of webinars on the Cochrane website  
24 for example, you know, great training resources and introductory  
25 modules. But of course, you know Cochrane groups or Campbell  
26 groups are very active in North America, so if there is a lot of

1 experience out there.

2 I just think it's a question of you need a few groups  
3 to get going on this brilliant training out there. In the UK now  
4 in Europe, you know, with guideline development it's so usual now,  
5 and we've got a critical mass of qualitative evidence synthesis that  
6 it's unusual to not have a, you know, sort of qualitative evidence  
7 feeding into a guideline if the question is appropriate to be  
8 answered by qualitative evidence.

9 So, it's just a question of people getting on and doing  
10 it, getting some demonstration pilots. You might want to think of  
11 a pilot guideline that you'd want to do some pilot qualitative  
12 evidence syntheses, so somebody like me could support you through  
13 it, you know, to test out the value of it to your Committee.

14 You know, choose a sensible question where there's some  
15 good qualitative research there, and do it as a demonstration, and  
16 see how people feel about it.

17 NED CALONGE: Thanks. Kamila?

18 KAMILA MISTRY: Thank. So I am from AHRQ, so the  
19 Agency for Healthcare Research and Quality, and I know you've  
20 worked with Sue Chi and other people from the Evidence-Based  
21 Practice Center (EPC), so I do want to make sure folks know that  
22 that is something that we've been doing for quite a while. And as  
23 part of the infrastructure we have in the Evidence-Based Practice  
24 Center, we focus a lot, obviously, on quantitative findings, and  
25 really thinking about synthesis.

26 But we are also integrating qualitative findings, and

1 it really so far has been really linked to, you know, the question  
2 that we're answering, and the evidence, and how we're looking at  
3 it. And so I think we're moving closer and getting more  
4 comfortable, I think as Dr. Noyes said, in terms of how we're  
5 doing our work and what we're doing.

6 But I would, you know, very much encourage, sort of, we  
7 could certainly connect with the folks there, and I know that  
8 we're sort deepening our expertise in that area. But one question  
9 I think that I keep coming back to is the one I mentioned at the  
10 beginning, which is sort of at--we recently did a review of  
11 respect for maternity care, and so we were looking at qualitative  
12 and quantitative.

13 And I sort of felt that you know, we were getting our  
14 peer reviews back from folks. We do the EPC report, and then we  
15 send it out for peer review, but there's still maybe a bias in  
16 terms of the way that we're thinking about quantitative versus  
17 qualitative. And so, I think there's still some work to be done  
18 in thinking about that overall synthesis or thinking about how do  
19 we think of both of these kind of side by side, or in terms of you  
20 know, informing decision making and work moving forward.

21 I'm not sure we're quite there yet, but I continue to  
22 see that sort of coming up as an issue, and you did speak to that,  
23 but so I welcome a discussion between, we can actually bring over  
24 folks from AHRQ to talk about this more deeply too, so thank you.

25 NED CALONGE: One of the quotes from Dr. Noyes that I  
26 carry around with me all the time is that you have to recognize

1 that quantitative and qualitative research answers different  
2 questions, and so you shouldn't actually apply qualitative  
3 research to questions that need a quantitative approach. And the  
4 opposite is true.

5 So it's an issue about in my mind less of weighing,  
6 which is more important, but using the synthesis in the proper way  
7 in the decision making framework.

8 KAMILA MISTRY: Yeah, I think that what I'm saying is  
9 that I think our brains because of the way that we're trained, or  
10 maybe biased, it tends to go to wanting to weigh. And I think it  
11 takes a very concerted effort to understanding the distinctions,  
12 and you know, maybe the strengths in both. And trying to make  
13 sure you don't do that, so I think it's important because it  
14 continues to come up, you know, in the work that we're doing  
15 across the board regardless of what we're studying in terms of the  
16 work of the EPC.

17 NED CALONGE: Yeah. I couldn't agree more Kamila. I  
18 will admit to everyone I find comfort in numbers, so yes. Just  
19 the way my mind thinks, yeah.

20 PAULA CAPOSINO: I just have a question about, oh I'm  
21 sorry, Paula Caposino with the Food and Drug Administration. When  
22 you were putting up the pictures, and you were talking about the  
23 proxies, I always wonder, you know, here with this type of  
24 research, or this type of information, you know, everybody is a  
25 proxy because you actually don't understand the perspective of the  
26 children at all.

1           And it's an impossible question. I'm just wondering  
2 how you think about that when you don't, you know, when everything  
3 is pretty much a proxy, you know, including every perspective.

4           JANE NOYES: Sure. That's a great question, and my  
5 background is child health, so I'm very used to interviewing  
6 children from a very young age to get their perspectives as well.

7       We've done lots of qualitative studies with children, and young  
8 people. Of course, you know, there's obviously a developmental  
9 aspect. There's a certain point when you can interview a child  
10 and get some, you know, some meaningful data from them.

11           And you know, and older children can still  
12 retrospectively look back and reflect on whether the right  
13 decisions were made for them, and we should rightfully include  
14 them. The U.N. Convention on the Rights of the Child says that  
15 children should be involved in all decisions that involve them,  
16 and so you know, we have a moral implication imperative to include  
17 children's voices.

18           But you are right there are very few qualitative  
19 studies, and you know, a lot of the ethics committees are trying  
20 to protect children from research, we are having quite a  
21 revolution in the UK and Europe at the moment by saying that we're  
22 harming children more by not including them in the research  
23 studies, than we are by excluding them to try to protect them.

24           So you know, maybe if we could follow that approach  
25 we'd get better data and more inclusion from children into these  
26 studies.

1           NED CALONGE: Yeah. Your last comment is interesting  
2 because I think the National Academy just published a report on  
3 including pregnant women and lactating women in research, and I  
4 think came to the same conclusion that we're actually doing more  
5 harm by not including women and pregnant persons in randomized  
6 control trials, and lactating people as well.

7           So, I think we're going to see changes. I haven't seen  
8 that report for children yet, so we need your results over here.  
9 Any other questions? Yeah, last question Robert.

10          ROBERT OSTRANDER: Sure, thanks. Robert Ostrander,  
11 American Academy of Family Physicians. Thank you for a great  
12 talk. In my world this is going to have lots of implications  
13 outside of this Committee. I am struck by what I think you've  
14 accomplished today with the group in that it will allow us to  
15 think about the validity and quality and competence level of the  
16 qualitative things we've been using right along.

17          We haven't called them qualitative studies, but we have  
18 spent a lot of time--still not loud enough, okay. Have spent a  
19 lot of time in public comment sessions hearing from folks, and you  
20 know, and Ned pointed out, excuse me the limitations of that. And  
21 we've also spent a lot of time hearing individual anecdotes from  
22 organizational reps and Committee members about their impressions.

23          And I think we have taken, the Committee has taken all  
24 of that into account, but now we can say how confident are we in  
25 that information will help us even right now before we have other  
26 studies, and I appreciate that. So what are your thoughts, or

1 should we--things that really aren't methodologically sound at  
2 all, should we consider those other than as human beings?

3 JANE NOYES: So, sometimes in the decision-making  
4 process you don't have the rigorous evidence that you need, and  
5 sometimes some decision is better than no decision. And in the  
6 meantime, you could put out your research agenda to try and  
7 actually plug those evidence gaps.

8 The anecdotal evidence from the groups, what we call  
9 the patient to public engagement where I live and work, is equally  
10 as important, but more as a triangulation of the research findings  
11 that have been very carefully and rigorously, hopefully, put  
12 together and theorized and designed. And we've got the ability to  
13 differentiate the well conducted studies from the last well  
14 conducted studies.

15 So you know, exactly the same domain as the trials. We  
16 can differentiate the better quality trials, and the less quality  
17 trials, and we can look up that impact. We could do sensitivity  
18 analysis by taking weak and strong qualitative studies in and out,  
19 so we can do lots of things.

20 Or we can triangulate our qualitative evidence  
21 synthesis findings with patients and public perspectives to see if  
22 they hold true, so there's lots of things that we can do to come  
23 up with a sort of an evidence trial to decision making.

24 NED CALONGE: Well, Dr. Noyes, thanks so much for your  
25 time today. It's been great, delightful to see you again. We  
26 look forward to thinking more about our engagement with



1 qualitative data synthesis to inform the Committee in our decision  
2 making, and you've moved us forward a lot. Thanks so much.

3 JANE NOYES: Thank you. It's been my pleasure, and I  
4 think we'll make a qualitative research of you yet, and we're  
5 slowly moving you along a journey towards qualitative evidence,  
6 it's been my pleasure. Thank you so much and enjoy the rest of  
7 your day.

8 NED CALONGE: Thank you.

9 (Applause.)

## 10 **Public Comments**

11  
12  
13 NED CALONGE: I'd like to move on to our second public  
14 comment period. And just pause for a moment to take a breath, and  
15 then I'd like to welcome Maria Kefalas up for the first public  
16 comment.

17 MARIA KEFALAS: My name is Maria Kefalas, and I am the  
18 Executive Director of Cure MLD. As a parent advocate since 2013,  
19 I'm here to state that MLD has met the requirements for inclusion  
20 in RUSP, an effective assay, an FDA approved gene therapy, and a  
21 standard of care that has been successfully implemented in the EU  
22 and UK.

23 LENMELDY is widely viewed to be one of the safest, most  
24 durable and transformative gene therapies in the world. The  
25 oldest U.S. patient received gene therapy in the original Italian  
26 trial back in 2009, just before his first birthday. Giavanni is

1 now 15 years old, six feet tall, his friends and classmates have  
2 no idea he has MLD.

3 When Giovanni provided his testimony to the FDA he  
4 stated, "I guess gene therapy works because I should be dead."  
5 MLD is a devastating disorder that causes tremendous suffering for  
6 the child, and taxes the healthcare system. According to my late  
7 daughter's physicians at the Children's Hospital of Philadelphia,  
8 Cal had 1,712 confirmed provider contacts over a ten year span.

9 194 blood tests, 42 x-rays, 22 ER visits, 16  
10 admissions, including three to the PICU, which included one  
11 intubation, 11 ultrasounds and two MRIs. I entreat the ACHDNC to  
12 move as quickly as possible to include MLD on the RUSP. Of the  
13 dozens of condolences I received after Cal died, a message from  
14 Dr. Michael Gelb, the scientist who invested the Newborn Screening  
15 assay for MLD, rises above the rest.

16 His message was simply I am so sorry we could not save  
17 Cal. It is too late for my daughter, and so many others, and it is  
18 in your power to give our children a different ending to their  
19 stories. It is intolerable to tell newly diagnosed families that  
20 I meet today that we have an FDA approved therapy, but we can't  
21 use it for your child because there is no newborn screening.

22 I urge the Committee to act quickly. Thank you for  
23 your time and consideration.

24 NED CALONGE: Thank you. Next, Dean Suhr.

25 DEAN SUHR: Good morning. My name is Dean Suhr. I am  
26 Founder, CoFounder and President of MLD Foundation. We're a

1 23-year-old advocacy organization focused on caring for families  
2 and children with Metachromatic Leukodystrophy. I think this is  
3 my 14th or 15th year of traveling to these meetings.

4 I so appreciate the work that you all do, and the  
5 challenges that you face. I'm going to edit my comments, so  
6 excuse a little roughness, because I think Maria has covered some  
7 of where we're at. We first came across the gene therapy in 2005.

8 Two researchers at a conference here in Washington, D.C. with  
9 about three dozen other researchers around a table.

10 And everybody scoffed at the idea that gene therapy  
11 could work at all, much less in children. And we stuck with it.  
12 We participated in clinical trials, we actually escorted some  
13 families over those trials, and as Maria has reported from one  
14 family, there are many, many families that are experiencing  
15 similar results. It's important to note that not only are these  
16 children living normal lives, but they are growing up.

17 They're teenagers at best now because most of them were  
18 infants when they were transplanted, or younger children. But  
19 they are going to live normal lives. They're going to contribute  
20 to society academically, socially and financially, and perhaps  
21 equally as important is that their families, their parents, it's  
22 Mother's Day weekend, Happy Mother's Day to all, they'll know  
23 their parents as mom and dad, not as caregivers.

24 And society will know them as contributing workers that  
25 are contributing into social services as opposed to being  
26 beneficiaries. So I know your purview is very focused on value

1 and benefit and often you all talk about whether that benefit  
2 accrues to the child or the family and/or society. The gene  
3 therapy, LENMELDY, here in the U.S. is creating a new generation  
4 of MLD children and families that show extraordinary value.

5 The therapy also has shown extraordinary values through  
6 ICER and NICE in the UK reviews, so don't be shocked. I'm sure  
7 you've all seen the pricing. You know the pricing of gene therapy  
8 is very expensive. They tend to focus on very, very limited  
9 audiences, patient populations, and that's the way that business  
10 works.

11 But the value is being demonstrated over and over again  
12 by third parties, and you will see that in our nomination.  
13 LENMELDY is an autologous, ex vivo lentiviral based therapy that  
14 corrects the ARSA enzyme. It's a lysosomal disorder. In spite of  
15 its name, Leukodystrophy, which it also is, it's a lysosomal  
16 disorder.

17 U.S. patients have been part of the clinical trial over  
18 in Milan. There's been a compassionate youth site here in the  
19 United States for several years, so U.S. patients have been  
20 treated, and that work has been demonstrated and successful here  
21 in the U.S. The sponsor of the drug has announced, I believe five  
22 other centers that they're opening here in the U.S., so they will  
23 regional centers of excellence for that therapy.

24 So, there is therapy. There is FDA approval. We're  
25 very excited about that, but we've got to have the diagnostics in  
26 order to get these kids presymptomatic. And in spite of the label

1 saying you could do early symptomatic late juveniles, we want to  
2 address those kids pre-symptomatically because no damage is better  
3 than a little bit of damage.

4 It is a three-legged stool though, diagnostics, the  
5 approval of the therapy, and then the access and reimbursement.  
6 And I want you to know that we're working very hard as well to  
7 make sure that the families have the access to this therapy, that  
8 they can get across state lines if necessary, and get the  
9 reimbursement through public or private payers.

10 I've been on Capitol Hill earlier this week. I've been  
11 doing that for well, since about 2007, and actually am a co-author  
12 of some legislation that we have co-sponsors for on Tuesday, but  
13 we're trying to make sure that the reimbursement again, expensive  
14 therapies or out of state therapies, are very challenging for our  
15 systems.

16 And we're making sure that that process goes smoothly.  
17 Like newborn screening it's not a straight road. It's kind of a  
18 winding road to get there. There's a lot of work to do there.  
19 With that, I just am very pleased to share or to report back and  
20 respond that as you've said, Ned, that the doors will open on May  
21 31st, just a few weeks for nominations, and we hope to be at the  
22 top of that stack when that happens.

23 I look forward to talking with you more about this at  
24 the coming the August meeting. Thank you all.

25 NED CALONGE: Thank you, Dean, and in fact we have been  
26 talking with MLD folks, so I appreciate your presentation. Now,

1 we're going to turn to the internet, and I would like to invite  
2 Kendra and Keira Riley to join us.

3 KENDRA RILEY: Good morning. Can you hear me okay?

4 NED CALONGE: We can, thank you.

5 KENDRA RILEY: Perfect. So my name is Kendra Riley,  
6 and I'm here at our home in Phoenix, Arizona with our youngest  
7 daughter Keira. You heard from me last year about why I felt  
8 strongly to add Metachromatic Leukodystrophy, or MLD to the RUSP,  
9 but given that we now have an FDA approved treatment for  
10 nonsymptomatic children diagnosed with MLD, one of which you could  
11 see here before you, living your perfectly normal life with zero  
12 symptoms.

13 I wanted you to actually see for yourself why it's more  
14 important now than ever. As you may recall we have two daughters  
15 with MLD. Our daughter, Libby, is about to turn six. She was  
16 diagnosed at 18 months old, and for her it was too late to receive  
17 treatment. There were too many symptoms to make the gene therapy  
18 treatment effective, and she's currently in hospice, worsening by  
19 the month.

20 This is the fate of any babies born with this disease  
21 if we do not add MLD to the RUSP. One child will need to be  
22 symptomatic and diagnosed, in order to properly diagnose future  
23 siblings in time. Why would we wait one more second to add this  
24 disease to the RUSP when there is a treatment that works?

25 Our daughter Keira here is living proof. Because of  
26 that early diagnosis she was able to receive the gene therapy for

1 MLD, which is called LENMELDY in the U.S., that quite literally  
2 saved her life. She now lives symptom free. She goes to school  
3 and learns alongside other kids her age.

4 Keira, what do you think? What are some of your  
5 favorite things to do?

6 KEIRA RILEY: Going to swim.

7 KENDRA RILEY: You like to swim. As you can see, she's  
8 even advanced in communications, she also attends gymnastics,  
9 she's learning to ride her bike, and she loves to sing and dance  
10 to Taylor Swift every single day. All the things that our  
11 daughter Libby was robbed of because newborn screening doesn't  
12 exist for MLD.

13 But if it did, families like ours wouldn't have to lose  
14 one child in order to save another. And now, the very gene  
15 therapy treatment that Keira received in Italy is here in the  
16 U.S., and it has been approved since March 18th. So I can just  
17 imagine how many babies have been born since then whose parents  
18 have no idea their child could become symptomatic with MLD, and by  
19 the time they find out it's going to be too late.

20 So today I ask you to prevent that from happening and  
21 add MLD to the RUSP. Not only to save lives but save families  
22 from a very preventable loss that affects each of them for the  
23 rest of their lives. Thank you so much for your time and  
24 consideration today. Did you have something else to say? What  
25 would you like?

26 KEIRA RILEY: Playing with my sister and going to

1 gymnastics.

2 KENDRA RILEY: Just a few more things she loves to do.

3 Thank you all.

4 NED CALONGE: Thanks all. Thanks Kendra and Keira.

5 Next, we have Maria Bell, and we can see you.

6 MARIA BELL: Good morning. Can you hear me, okay.

7 NED CALONGE: We can.

8 MARIABELL: Well, thank you so much for having me. As  
9 you said, my name is Maria Bell. I'm a Board member of BARE,  
10 Biliary Atresia Research and Education. We are a nonprofit  
11 dedicated to education and awareness of Biliary Atresia, in  
12 advancing research and treatment for this rare pediatric liver  
13 disease, that without timely treatment will lead to significant  
14 morbidity and mortality.

15 Our scientific arguments for nominating Biliary Atresia  
16 to be added to the RUSP run the risk of falling flat if they have  
17 no meaning. So I'm here today to share with you the story of my  
18 husband, Ryan and I's fourth child, our son William. And so that  
19 you might imagine for a few minutes today yourself and the world  
20 of a baby with end stage liver disease.

21 In 2021 at four weeks old William's pediatrician here  
22 in the Northern Virginia area where we live was concerned that his  
23 newborn jaundice was persisting, and so he ordered lab work that  
24 would revealed an elevated direct bilirubin, and highly elevated  
25 liver enzymes.

26 Imaging and invasive testing followed, and at nearly



1 eight weeks old William underwent an operation that saved his  
2 life. This is an operation that not every baby is able to access  
3 in time. And even with that operation William seemed to be  
4 experiencing serious complications of end stage liver disease, and  
5 portal hypertension, anemia, malabsorption of vitamins,  
6 osteoporosis, recurrent fractures and recurrent cholangitis, a  
7 life-threatening bacterial infection.

8 A central line for IV antibiotics, which I managed from  
9 home became necessary to keep him stable while he waited in line  
10 with thousands of others on the national wait list for organs. He  
11 desperately needed a new liver.

12 As I sit here today and am talking to you, there is a  
13 mom or dad somewhere in our country holding their jaundiced  
14 newborn baby and not worried at all because they have no idea that  
15 internal disease is the reason for that jaundice, and it's fast  
16 progressing, and it's devastating symptoms will soon surface  
17 before it might be too late for a positive outcome.

18 There's a mom trying to comfort her inconsolable baby  
19 who's in NPO all night, had a biopsy or central line placement.  
20 There's a family taking some of their children to a friend's house  
21 while they rush their sick baby to the ER again. There's a  
22 toddler sitting in a hospital bed, a big belly, full of enlarged  
23 organs and fluid, being startled awake this morning for lab draw,  
24 but hoping for just a Facetime call with his siblings.

25 There's a mom or dad that will go to bed tonight making  
26 sure their phone ringers are on the loudest setting possible,

1 praying that the call for an organ will come. There's a mom  
2 setting up a sterile field at home, loading an iPad with cartoons  
3 as she prepares another infusion that she prays will keep her  
4 child stable until a transplant might be possible.

5 There's a family sitting in a hospital today, waiting  
6 hour after hour for the news that the child has survived a  
7 transplant, while perhaps her other children are wandering the  
8 halls, wishing they could find their brother and take him home.  
9 Finally, there's a mom dosing anti-rejection medication to her  
10 child, who was miraculously saved by transplant, but who now faces  
11 a lifetime of immunosuppression, and the uncertainty of life as an  
12 organ recipient.

13 I know these things because I have been all of those  
14 moms. An anonymous living donor rescued William from his wait for  
15 an organ in 2022, and we are eternally grateful. But I have known  
16 moms and families whose babies' stories of biliary atresia ended  
17 in tragedy, and that is why I'm here today.

18 Because with newborn screening we can do better for  
19 these babies by identifying them earlier to close health disparity  
20 gaps, shorten their diagnostic odysseys and bring them to  
21 treatment sooner, which in most cases can delay their needs for a  
22 transplant, a therapy not even guaranteed to everyone waiting in  
23 line.

24 The crux of the matter is this, that transplant is  
25 exceptional therapy for the children who need and receive it, but  
26 we want fewer children to need it. In a recent conversation, a

1 pediatric hepatologist, well known in our field stated that we  
2 expect 80 years of life out of every baby born, and there's no  
3 reason to not expect that for babies with biliary atresia.

4 So, I leave you today with this earnest plea to help us  
5 give every baby born with biliary atresia that chance, a chance  
6 that eighty years of a full and possible transplant free life, and  
7 so thank you for your invaluable work since 2003 that there's a  
8 chance for babies to survive their terminal diagnoses and thank  
9 you for listening to my comments today.

10 And thank you for considering BARE's nomination package  
11 to add biliary atresia to the RUSP. Do you want to say hi,  
12 William? Thank you again.

13 NED CALONGE: Thanks Maria. Thanks also to all our  
14 public commenters today. We appreciate your presence and your  
15 comments, and your sharing. We're going to move on in the agenda  
16 to what we didn't get done yesterday, so bring up the slides now.

17 So, in November 2023, we had listening sessions and  
18 received feedback from various stakeholders on the Committee's  
19 nomination process. We took feedback, we convened an ad hoc topic  
20 group that consisted of folks that recently submitted nomination  
21 packages, and we learned about the challenges that nominators  
22 experience, and got some really valuable feedback.

23 Then at our January meeting this year we had an open  
24 discussion with the Committee about the nomination, and evidence  
25 review process and posted the same questions in a request for  
26 information. Now I'm going to share with you some updates and

1 some next steps, I hope.

2 So, clearly the nominations are part of the grist for  
3 the mill of the work of the Committee. The challenge is there's a  
4 lot of pressure on nominators to make compelling and competence of  
5 cases for adding a condition to the RUSP. And this requires a  
6 tremendous amount of effort.

7 There's also a jargon that I think a lot of people in  
8 the room currently have gotten more used to but can be very  
9 daunting for most people who don't come to the meetings on a  
10 regular basis. So, our goal is to figure out ways to simplify the  
11 process for nominators but maintain the central role that  
12 nominations have for the evidence review, and our overall  
13 recommendation process.

14 So we had feedback from groups of five on recent  
15 current nominations. We had discussions at the previous Committee  
16 meetings, the large group listening sessions from November, and  
17 the large group discussion in January. And input from the old  
18 acting standing workgroups: follow up and treatment, education and  
19 training, laboratory standards and procedures, and then public  
20 comment we received in response to the Federal Registry requests  
21 for information from March to April of 2024.

22 So this is our approach that we hope we think about as  
23 being simplified, that there would be a preliminary nomination to  
24 be assessed by the nomination and prioritization workgroup that  
25 would address four questions. Is there an availability of a  
26 newborn screening test?

1           Is there agreement about diagnostic confirmation after  
2 a positive screen? Is there a prospective population based  
3 newborn screening project that has identified at least one infant  
4 with a condition, and then does earlier identification through  
5 newborn screening improve outcomes?

6           If the answer to each question is yes, then the  
7 nominators would submit between one and three peer reviewed  
8 publications for each question, and then partner with HRSA, the  
9 Chair of the Committee, and selected Committee members to assemble  
10 a complete nomination package. And this will help the Advisory  
11 Committee understand if there's enough evidence to move to a full  
12 review, but does not of course, replace the full review.

13           And we have some experience again, and I thank the MLD  
14 community and nominators for their time with us. I think as we  
15 put this forward, the idea is that you just have to say yes or no.

16           So, what we did ask is can you give us a little bit more context  
17 of why you're saying yes or no, so that's an additional, what do I  
18 want to say, evolution of the process that we got by interacting  
19 with the community itself.

20           And I really appreciate the time that we put into that  
21 and understanding that a little bit more information would be  
22 helpful. So the advantages that we see are the preliminary  
23 nominations require much less work, and provide the foundation for  
24 having the Committee provide guidance and support about what  
25 information will be needed for a complete nomination package.

26           And then the complete nomination will allow advocates

1 to focus on key factors for moving to evidence review without  
2 having to replicate what is going to be done eventually by the  
3 evidence review committee. So where are we now? We need approval  
4 from the Advisory Committee to support and move forward with the  
5 revised approach, which will lead us in the finalization of new  
6 nomination forms.

7 A preliminary that we can look at, review, and then  
8 complete. And then development of additional resources, including  
9 something that came up multiple times in talking with the  
10 nominating community, a glossary of key terms, and that will lead  
11 us right into discussion by the Committee. Shawn?

12 SHAWN MCCANDLESS: Thank you, Shawn McCandless,  
13 Committee member. This seems like a big improvement over the  
14 existing process, actually from every perspective. I do wonder  
15 how you think about the component of requiring a prospective pilot  
16 study based on what we've heard yesterday in the public comment of  
17 the N-of-1 Rule, which whether that's really a rule or not, is  
18 currently an expectation, and would again be codified in this  
19 process.

20 And I guess then the question for the Committee is what  
21 information do we get from that process? What are the elements  
22 that we're trying to understand from that process, and how, what  
23 would be alternatives to the sort of a pilot study approach that  
24 would get us the same information that would be acceptable to the  
25 Committee?

26 NED CALONGE: I definitely have my opinions and

1 feelings, but I want to make sure that I don't trump anyone else's  
2 by speaking first. Jeff?

3 JEFF BROSCO: Yeah, just some of the historical  
4 background. So I was on the Committee, it's Jeff Brosco, HRSA. I  
5 was on the Committee when this discussion came up, and I don't  
6 know if Scott Shone is able to join us today or not, but he was a  
7 big part of that discussion as well, so if Scott's on hopefully he  
8 can clarify some of the details.

9 And Shawn, there was a big discussion about how the  
10 idea of proof of concept that you've taken all the way through the  
11 system, which is what's really a central part. And that yes, all  
12 components might be there, but unless you're able to actually do  
13 it, it was not appropriate for us to be raising it. That was the  
14 discussion at that time, and that's sort of where we were. Do you  
15 remember that too Michele?

16 NED CALONGE: As do I. Scott, your picture just came  
17 up, oh and Cindy I did see your, but since you were called out  
18 specifically.

19 CINDY POWELL: No, Scott was first.

20 SCOTT SHONE: Well no, Cindy go ahead--

21 CINDY POWELL: No, no you go first.

22 (Laughter).

23 SCOTT SHONE: I just wondered if you could hear me okay  
24 because I'm having audio issues.

25 NED CALONGE: We can hear you.

26 SCOTT SHONE: Okay cool. Yeah, so it's as Jeff said,

1 you know, I actually gave the presentation on N-of-1, before I was  
2 even a member of the Committee, so it was quite a while ago. And  
3 there were several things that we reviewed. And I think that  
4 having heard public comments in the last few meetings from several  
5 individuals and Dr. Ellinwood's comments yesterday, and the  
6 commentary that he and Dr. Gelb and Amy Giviglio have been able to  
7 pull together.

8 I'm happy to review it if the Committee felt it would  
9 be appropriate to readdress. I do think there's still some  
10 sampling points that are critical, you know, in my opinion of why  
11 identifying a case is important, but I do feel that in the  
12 discussion yesterday led us to conversations around the conditions  
13 for which we're now looking are becoming increasingly more rare  
14 and more rare, and the challenges of identifying one case can  
15 potentially delay the Committee from making some concrete  
16 movements forward.

17 I still fundamentally believe that the identification  
18 of a case in a newborn screening program is part of a perspective  
19 assessment and is essential to ensure that it works. We do this  
20 in all sorts of laboratory and public health programs. It's not  
21 just newborn screening to make sure that we're going to be able to  
22 pick these up, both from a laboratory perspective, and then from a  
23 pre-analytic processes' perspective.

24 So, you know as Jeff said, and you know, it's really  
25 reviewing the system impact. Not the system impact, gosh, I'm  
26 still stuck in yesterday. The system's response to identification



1 and the ability to identify that these cases move forward, but I  
2 wonder, and I haven't done any research into this, if you know,  
3 now what is probably a decade later, are there other things that  
4 our systems are engaged in, and what our programs are doing that  
5 we might be able to take advantage of to think about other ways to  
6 do this.

7 And I'm grateful that Matthew Ellinwood is in my state  
8 because perhaps when he gets back, we can talk about it.

9 NED CALONGE: Melissa?

10 MELISSA PARISI: Melissa Parisi, NIH. I wanted to also  
11 make a comment about the N-of-1 Rule, and some of the challenges  
12 that that has posed I think for prospective pilots, and also  
13 reflecting on the comments from Dr. Ellinwood yesterday, and the  
14 paper that was published and included in our packets.

15 And whether or not there are alternatives to that  
16 emphasis on a prospective pilot to identify one affected baby.  
17 You know, the use of retrospective pilots, and trying to  
18 incorporate data around ways that we can still test the system in  
19 a less rigorous prospective manner, and of course the issue of  
20 really rare diseases makes it increasingly difficult.

21 At NIH we are funding a pilot program study where we  
22 have several states that are part of our cohort, and we are trying  
23 to find that sweet spot of actually having conditions that could  
24 be part of these pilots that we would allow to adhere to these  
25 guidelines and allow for a state to identify an affected infant  
26 prospectively.

1           But the challenge that we have is that the timing is  
2 such that we're trying to help create the evidence base to support  
3 a RUSP nomination, at least in the traditional manner, and  
4 oftentimes those nominations in those states that would be able to  
5 do this, do not have the consent ability because the condition is  
6 not yet on the RUSP, in order for them to actually do the pilot.

7           So, we are caught in a catch 22 kind of chicken and egg  
8 argument, and that makes it really difficult. Very few states can  
9 actually do these types of pilots because the condition has not  
10 either been nominated for the RUSP, or added to the RUSP, and you  
11 can't be added to the RUSP until you have done a prospective pilot  
12 study to identify a baby.

13           So it feels like, you know, I applaud the approach to  
14 try to simplify the criteria, but I worry that that third  
15 criterion is still going to be a significant barrier for groups to  
16 overcome to be able to make a nomination. And I wonder if there  
17 might be a little bit more emphasis on the fourth criterion. I  
18 don't know if someone wants to pull up that slide again so folks  
19 can see what I'm referring to because we have a briefing book that  
20 we can look at, that I know other folks might not be able to see.

21           The fourth one was just evidence that an early  
22 diagnosis, earlier identification through newborn screening  
23 improves outcomes. And you know, I just wonder whether stating  
24 there is a therapy that has shown to improve outcomes as a better  
25 criterion, and that one feels almost too vague to me.

26           Whereas criterion number three feels too specific to

1 me, and I wonder if we can somehow find a little bit of a balance  
2 for this simplified approach that would be amenable to a lot of  
3 conditions going forward that are going to be increasingly rare  
4 and harder to identify that one positive case. Thank you.

5 NED CALONGE: Dr. Powell?

6 CYNTHIA POWELL: Thank you, Cindy Powell, Org Rep ACMG.  
7 One thing that I didn't see with this, you know, simplified  
8 approach, which you know I agree that there's a need for this, but  
9 in regards to case definition of what is being screened for, what  
10 form of the condition because I know that that's something that  
11 the N&P workgroup has struggled with in the past, you know, and I  
12 think that still is important for the nominators to be able to  
13 articulate, you know, because from so many of these genetic  
14 conditions you know there's various forms.

15 And you know we have conditions now where maybe one out  
16 of every ten infants identified in a positive screen actually has,  
17 you know, the condition that's you know really primarily the one  
18 that you want to detect early and screen for, whereas the others  
19 have much later onset conditions, or may have you know, never have  
20 any symptoms, so I think that's something important to still keep  
21 in mind, thanks.

22 NED CALONGE: Thanks Cindy. Michele?

23 MICHELE CAGGANA: Michele Caggana, Committee member. I  
24 agree with Dr. Parisi and, you know, with clarifying points three  
25 and four. I just want to caution also that by doing a  
26 retrospective identification of a case can come with some

1 problems.

2 First, as Dr. Powell said, it's not only the case  
3 definition of what's being, you know, what we're trying to detect  
4 as we move forward with that condition, but also to ensure that  
5 the patient that you're getting the sample to prove you can detect  
6 it in a newborn screening program, if you're doing retrospective,  
7 actually has the condition.

8 And we've had situations where we've been told children  
9 have specific conditions, and then when we test them, they really  
10 don't. And so we need good diagnostic backup with these cases to  
11 make sure that if we're going to use that one patient to make that  
12 retrospective, that we have to have the right one.

13 And the other thing is the issue with stored specimens,  
14 and stability of biomarkers because if you're going to do a  
15 validation and then you're going to detect, and the biomarker you  
16 have is sensitive to storage, you may have issues with that as  
17 well.

18 And the last point I'll make is that with the issues  
19 around residual dry blood spots and what they're used for, more  
20 and more states may be either not storing them as long or having  
21 to get consent to store the samples. And in those situations,  
22 even a stored specimen may not be available at some point.

23 And we can't really take an older child and test their  
24 blood either because of the changes in the biomarker  
25 concentrations over a lifespan with and without treatment, thank  
26 you.

1 NED CALONGE: Jennifer?

2 JENNIFER KWON: So I really appreciate the points that  
3 Drs. Parisi and Caggana brought up, and I think that obviously we  
4 want it to be not daunting to people who are applying, but in that  
5 bullet point that talks about nominators submitting peer review  
6 publication, and partnering with HRSA, ACHDNC Chair, et cetera  
7 there's obviously a lot of stuff in that bullet point.

8 And I think that for it to feel understandable to the  
9 nominators it may be helpful to put some of this excellent  
10 background in, so that people understand what they're doing. I  
11 think I had given before an example of a meeting I went to where I  
12 was, I'm not particularly interested myself, in Tay Sachs disease,  
13 I'm not a specialist in that, but I was supporting somebody that  
14 I'm mentoring.

15 And they had a little workgroup on Tay Saches newborn  
16 screening. And actually, they had worked with New York State to  
17 get some samples of patients who have been diagnosed with Tay  
18 Saches to look at their newborn screening cards, and they had done  
19 a lot of work, and I just pointed out that there's no treatment  
20 for Tay Saches disease in childhood.

21 And I mean but for them it would be really a beneficial  
22 outcome just to know the diagnosis. It's such a huge diagnostic  
23 odyssey for families to go through. And I felt horrible sort of  
24 talking about the approach of the Advisory Committee, and that I  
25 didn't really think that their application would go very far.

26 And I think that this idea of having a pilot, you know,

1 a pilot program in the state was sort of yet unknown to them, but  
2 I think they had looked at the site, and were getting started. So  
3 I think that we do need to, even though we want it to be  
4 simplified, we do really need to give the background of the  
5 criteria that we use.

6 NED CALONGE: Thanks Jennifer, and we are working on  
7 both the definitions. And then a frequently asked questions  
8 issue. I want to--sorry to short circuit, just to say a little  
9 bit about the N of 1, and just let the Committee know that I am a  
10 strong supporter of the N-of-1. I would not--you can know what my  
11 vote would be now.

12 It really comes from the original methods of  
13 development, which Nancy Green, Alice Kemper and I worked on with  
14 other Committee members. When I first came to the Committee, I  
15 started working on the evidence to decision framework. I actually  
16 proposed a provisional recommendation, and it was the rest of the  
17 Committee who said that is a terrible idea.

18 And I said why--because of the reasons that you talked  
19 about, you know, we can't do it because it's not approved, and so  
20 we would have to do informed consent. So I said we'll make it  
21 provisional, and the Committee to a person said we will never  
22 remove it. We'll never not do it. I thought well, we could  
23 gather data, we could get some experience, we could show that  
24 works, and it was not even close. It was like we don't have the  
25 discipline to say we're going to take it off now.

26 We have not removed a topic. We don't even have

1 methods to evaluate whether or not we're doing the right thing  
2 with the group of conditions we already have. So that's one. The  
3 second, is that we spent like an hour yesterday talking about  
4 public health impact, and I tell you, I do not believe we can  
5 understand how to implement a new topic if we haven't done it.

6 And so I just want folks to know I hear it. I  
7 acknowledge it, I understand the barrier that it represents, and I  
8 read the commentary, and I appreciated alternative approaches, and  
9 I just feel really strongly that this is an important criterion if  
10 we're to do our job correctly, which is to do evidence-based  
11 recommendations.

12 Now, NASEM is looking at the whole process, and as I  
13 listened to Jennifer, they may come back with recommendations that  
14 say you should think more about this. But I think at this point  
15 in time without a longer discussion that would go down all of the  
16 potential alleys and rabbit holes of thinking about alternative  
17 methods to the N-of-1.

18 I would say that we should use this for the simplified  
19 approach until we've actually created more intentional dialogue.  
20 So, I hope that, at least you know where I stand. Shawn?

21 SHAWN MCCANDLESS: Thank you. Shawn McCandless,  
22 Committee member. Thank you for that. Listening to this  
23 discussion it makes me reflect back that it seems to me the  
24 problem is that we have one hammer, and that to a person with a  
25 hammer everything looks like a nail, and so newborn screening is  
26 the solution to every problem because that's the tool that we

1 have.

2           And I guess I feel like this Committee needs to be  
3 persistently vocal about the need for additional tools for  
4 screening, for doing pilot studies. You know, I think that what  
5 you mentioned of a provisional diagnosis, whether Dr. Parisi  
6 mentioned about having a structured approach to pilot programs  
7 that the pilot is ongoing, and we can drop in new conditions as  
8 needed as they come up to the RUSP.

9           All of those things are tools that we should have on  
10 our toolbelt to really accomplish the goals of this Committee, and  
11 that we just keep getting our wheels stuck in the mud, and the  
12 only thing we've got is newborn screening. I don't know what the  
13 answer is, but I really feel that we have to be forward thinking  
14 about what else, what other tools do we need.

15           The second, and kind of a change of topic. For the  
16 simplified approach it seems to me that number one should be is  
17 there an effective treatment and is there evidence that early  
18 intervention or pre-symptomatic intervention makes a difference.  
19 Just so we're not being driven by the development of the  
20 technology to do the testing.

21           That should, it's not that anyone is more important  
22 than the other, but it seems to me that the first point should be  
23 is there effective treatment, and does early intervention--does  
24 giving that treatment in a presymptomatic phase impact the  
25 long-term outcome? Is the benefit there, and then is there a  
26 diagnostic? Is there a screening test?



1           NED CALONGE: So Shawn, is your idea to change the  
2 order just to not go down through the rest of the questions?  
3 Because that is what--I mean four doesn't say treatment, but kind  
4 of embedded in question four was kind of like, is there effective  
5 therapy that if it's provided early through screening detection,  
6 does it improve outcomes?

7           SHAWN MCCANDLESS: Yeah. I think just making number  
8 four number one would prioritize the steps in the way that they  
9 should be prioritized in my mind. I don't think it matters  
10 because this is not, you know, it's not the FDA where if you miss,  
11 well it kind of is actually. If you miss your primary goal, you  
12 don't even look at the rest of the information that you have,  
13 regardless of what they say about looking at the totality of the  
14 data.

15           If you miss your primary outcomes statistical  
16 significance you're done. That would be the same for this, but it  
17 probably any one of the four would probably mean that something  
18 will not move forward. Failing to meet any one of the four would,  
19 you know, would not move forward.

20           NED CALONGE: They're not all yes, and then that  
21 doesn't move forward. Jeff?

22           JEFF BROSCO: Jeff Brosco, HRSA. So to your first  
23 point, Shawn, we're tentatively planning in our next meeting to  
24 talk about what are some of the other tools out there, right?  
25 Where does clinical screening fit in, so we can draw a sharper  
26 contrast between state and newborn screening programs, and all the

1 other ways that we're currently doing screening that may be more  
2 appropriate for some conditions, at least at this point.

3 Then, to talk a little bit about the bigger questions,  
4 and Natasha has brought this up as well about what exactly is  
5 newborn screening? Is it--I'm sorry, some of the bigger questions  
6 about what exactly is newborn screening and is it as you sort of  
7 pointed out yesterday, public health emergency, this needs to be  
8 done absent this, you know, this would be considered medical  
9 neglect, not a follow through.

10 And we've been moving toward more and more information  
11 that would be valuable for many families, but maybe not for all  
12 families who didn't ask for it. And we're hoping, right that part  
13 of what the NASIM study will be doing this year is really digging  
14 deep into that kind of question.

15 Because of this, yes the eight people around this table  
16 could answer it, but it's really a much bigger question about what  
17 should do more screening be? And it might also be that that helps  
18 inform this kind of one pilot question as well. I will say at a  
19 procedural task, and our DFO will correct me if I'm wrong, that  
20 what we've been doing here is simplifying the process, modifying  
21 the way we do it, so it works better primarily for people who  
22 nominate.

23 Because what we've heard from them when we did those  
24 five different focus groups is we do this huge amount of work and  
25 then realize one of these is a no, and now we're struck. And so,  
26 we're trying to get to that. Yes, you have all those yeses right

1 away. It's worth doing the whole thing.

2 So this is not a real change in what the Committee has  
3 been doing, it's just trying to make it easier. I think, and  
4 Leticia can correct me if I'm wrong, if we do move away from those  
5 fundamental criteria, that's something that requires a formal  
6 vote, which requires notice, which might be the next meeting in  
7 August, which means we may have to extend the pause and stuff, so  
8 I'm just--I'm looking.

9 I think that's what would happen, so we need to  
10 carefully consider if we're going to make fundamental changes, we  
11 probably also want to have a broader discussion. So do we bring  
12 back, you know, Scott Schilling's presentation from a few years  
13 ago and really dig into it before we make what would be a pretty  
14 big change in our process.

15 NED CALONGE: Melissa?

16 MELISSA PARISI: Melissa Parisi, NIH. I just want to  
17 echo what Shawn had to say, and say I completely agree because  
18 when I read this current criterion for, I think that could apply  
19 to anything in which you could avoid the diagnostic odyssey.

20 So I do think that because that's currently not the  
21 mandate for this Committee, although we don't know what the NASEM  
22 study is going to show, and what kinds of things might be  
23 considered in the future for newborn screening. But at least with  
24 the criteria that we use now, there has to be an effective therapy  
25 that pre-symptomatic--I don't know if I'm going to quote you  
26 properly, Shawn, but that pre-symptomatically improves outcomes

1 essentially was what you were saying.

2 So, I just feel like that's really critical to be added  
3 to number four, otherwise I think it's opening the flood gates  
4 because it's not specific enough to indicate that, you know, early  
5 diagnosis could be helpful for a lot of families for sure, even if  
6 there's no treatment.

7 NED CALONGE: Thanks Melissa, I appreciate that.  
8 Natasha?

9 NATASHA BONHOMME: Good morning, Natasha Bonhomme,  
10 Genetic Alliance. One, I just wanted to note that you know I wish  
11 there was a way to have the audience members who are authors on  
12 the N-of-1 be able to participate in this conversation knowing  
13 that you all are looking at them, probably in the audience, and  
14 that might give a bit of an even richer dialogue, so I just wanted  
15 to call out that little bit.

16 I know that's hard to do with how the Committee is set  
17 up. But, you know, we've talked about with the N-of-1, and  
18 testing the system, but not really pinpointing what is it that we  
19 are testing, because the newborn screening system is tested every  
20 day, hundreds, thousands of times a day because babies are  
21 screened every day.

22 So, what are those particular datapoints that have been  
23 so helpful within the context of making a decision? Maybe calling  
24 those out, or being able to parse that out, you know, I'm assuming  
25 it's not necessarily all of the preanalytical work that I just  
26 mentioned because again, the system is tested every day.

1 I think just getting a little bit of clarity on what  
2 exactly it is that we've learned, and maybe doing a little bit of  
3 retrospective on conditions that have been added, that did go  
4 through those pilots, and what made that so helpful can just help  
5 us all know what is it that we're actually talking about, and what  
6 is that value, thanks.

7 NED CALONGE: Thanks Natasha. So I'm going to try  
8 to--oh sorry, Ash?

9 ASHUTOSH LAL: Just a quick comment on I think the,  
10 Shawn, I think the focus on point four and I think a little more  
11 clarity with the presentation from FDA yesterday was extremely  
12 helpful for all of us. And I felt that in some cases when you're  
13 saying there's a treatment, effective treatment, available it's  
14 equated with an FDA approved therapy.

15 But as we learned yesterday that the approval puts in  
16 the accelerated pathway, actually implies that the efficacy has  
17 not been proven at the time of the approval. So that what I would  
18 be, and I hope the other Committee members will be looking for, is  
19 how that FDA accelerated approval was used to then prove that the  
20 treatment was effective.

21 And we don't necessarily have to wait for, for a  
22 particular approval later on, so that could take a much longer  
23 time, but there should at least be preliminary evidence that the  
24 accelerated approval led to an approved pre-symptomatic outcomes.

25 Thank you.

26 NED CALONGE: Thanks, Jeff?

1           JEFF BROSCO: Jeff Brosco, HRSA. So following up on  
2 Melissa's excellent suggestion, just a slight wording change for  
3 number four, if folks are okay with it. So, keeping a lot of it  
4 the same, earlier identification through newborn screening leads  
5 to interventions that improve outcomes. What do people think?

6           NED CALONGE: I'm still concerned that until we flush  
7 out interventions other than effective therapy, that we haven't  
8 been specific enough about what we're looking for about how we  
9 used to look at it, or how we currently look at things. Do you  
10 understand what I mean?

11           So, there's an effective therapy that improves outcomes  
12 if given earlier. Shawn?

13           SHAWN MCCANDLESS: I think we also need clarity about  
14 who the outcome needs to be affecting, so is the outcome to make  
15 the life of the baby that's being screened to improve the outcome  
16 for the baby, or are we leaving it generic so that to allow for  
17 improving the outcome for the parent?

18           NED CALONGE: Another great question. I mean where we  
19 are today, and we heard talk about it yesterday is it's a benefit  
20 accruing to the individual. Chanika?

21           CHANIKA PHORNPHUTKUL: Chanika Phornphutkul, Committee  
22 member. I just want to echo what Dr. Lal just said, especially  
23 since I noticed that most of the more recent FDA approval are on  
24 an accelerated path, and there's really no easy way to translate  
25 that into clinical improvement.

26           So, I think we do need to think about that, and then

1 have, you know, sort of information to see that newborn screening  
2 will improve the child's life if that's the goal of this  
3 Committee. Thank you.

4 NED CALONGE: So, yeah, so the accelerated pathway adds  
5 a level of complexity. We don't actually equate FDA approval with  
6 effective therapy. We do an evidence based review, and then we  
7 make a judgment based on the evidence review, but this is for the  
8 nomination, and so I guess the issue is would we let--by saying,  
9 by thinking about FDA approval as an effective therapy through an  
10 accelerated pathway, would we bring more conditions in that we  
11 would then later say no to after a full evidence review?

12 And I guess I don't know the answer to that, but it  
13 does say we would start the machine. We might start the machine  
14 working short of knowing that we have an effective therapy. So  
15 Jeff, you seemed resistant to the effective therapy wording?

16 JEFF BROSCO: No.

17 NED CALONGE: Okay.

18 JEFF BROSCO: I changed it already.

19 NED CALONGE: Okay. So I'm going to again try to  
20 summarize. So we didn't notice this. This is just kind of like  
21 we are in favor of the direction we're going, and we'll do a  
22 little bit deeper dive with a formal vote at the next meeting.  
23 The things I've heard are we want to add effective therapy to  
24 number four.

25 Whether or not it needs to be one or four, I'm a little  
26 bit agnostic, but if Shawn feels strongly, if not then I think we

1 can go. And then I would like to just raise up this issue there's  
2 a clear case definition. I will tell you that that's something  
3 that the USPSTF puts as a very first question is do we know what  
4 we're screening for?

5 And so I wonder about does that add a level of  
6 complexity to the nominators that is unwarranted or warranted?  
7 Should we add a question is there a clear case definition for the  
8 condition for which we're recommending screening? Shawn?

9 SHAWN MCCANDLESS: You know I'm going to have an  
10 opinion about everything, which is why people from HRSA are happy  
11 to see me go. I think that that's absolutely critical. I think  
12 there has to be a case definition. I think what we saw with the  
13 process with Krabbe disease, that was really a very important  
14 issue that eventually led to the Committee moving forward, was  
15 when there was an agreement around the case definition.

16 You know, Krabbe, that condition raised many important  
17 issues over the years that were addressed, and as frustrating as  
18 it was for the nominators that it took so long, it really does  
19 show that the process can be effective in getting to a conclusion.

20 But I do think that case definition needs to be in there.

21 Maybe as, my first impulse was to say add it to number  
22 two, that there's agreement about case definition, and there is an  
23 acceptable diagnostic, or an effective diagnostic confirmation  
24 process.

25 NED CALONGE: I like that suggestion. I'm thinking  
26 about MLD, and I don't think this is going to be an issue for that



1 nominating group, so I'm really trying to be sensitive to moving  
2 ahead at the end of the month with this nomination. I think it's  
3 really important for the community as well as I think our group.  
4 Michele? I'm sorry Melissa, I'll get back to you.

5 MELISSA PARISI: Well, I just wanted to ask if we end  
6 up voting on this in August does that mean that you're not going  
7 to open up nominations at the end of this month?

8 NED CALONGE: No. We're going to kind of if everyone  
9 is kind of in favor of where we're at, we're going to move  
10 forward. If people say no, we want to revisit, because this is  
11 consistent with what we do. If want to change the criteria  
12 completely for a nomination, which these four questions are based  
13 on, then we'd have to extend the issue.

14 And I think adding case definition doesn't change what  
15 we currently do. Thanks for the question though. I really  
16 appreciate that. Michele?

17 MICHELE CAGGANA: Michele Caggana, Committee member. I  
18 agree with case definitions, and I think it's really important,  
19 and as several of the past nominations have shown that it really  
20 helps newborn screening programs implement when we have a clear  
21 definition of what we're screening for.

22 And I think you just need to be aware that's going to  
23 feed into the N-of-1 because if you find if you don't have a good  
24 case definition, and you find something with your screening test,  
25 have you really found it or not? So, they're sort of related.

26 NED CALONGE: I appreciate that. So with those kind of

1 two changes I'll just review them again. One is adding case  
2 definition, either a separate question, or probably easier just  
3 within question number two, and then adding effective therapy that  
4 outcomes are improved through earlier identification with those  
5 two changes, are we okay moving forward and working with and it  
6 wouldn't, I'm just saying MLD, but I know that one is there.

7 There may be others that are waiting for us to kind of  
8 say bring one in. Shawn?

9 SHAWN MCCANDLESS: I'd like to propose--I don't mean to  
10 trump Jeff Brosco of course, but I want to--I would propose  
11 language for number four that says earlier identification through  
12 screening, rather than clinical diagnosis allows provision of the  
13 effective therapy to improve the outcome for the infant screened.

14 I think that captures all the points that were raised.

15 NED CALONGE: Does that sound okay to other panel  
16 members? Okay. I think we're good. All right. Oh, Margie, I'm  
17 sorry. I got so excited about coming to an answer, but.

18 MARGIE REAM: Not to complicate number four even  
19 further, but just looking for a little clarification on the  
20 wording that earlier identification such as could be accomplished  
21 through screening, or are the nominators supposed to demonstrate  
22 the screening itself, you know, has been effective in approving  
23 outcomes?

24 So for example, the cases of, you know, pre-symptomatic  
25 diagnosis through siblings demonstrates the point of what newborn  
26 screening or other screening could accomplish.

1           SHAWN MCCANDLESS: Margie, I think that's a great  
2 point. I do think the way I worded it could be interpreted to  
3 mean that only newborn screening followed by evidence of effective  
4 intervention leading to better outcomes would be acceptable, and  
5 that's not what we're trying to accomplish here, right?

6           NED CALONGE: Such as through newborn screening?

7           SHAWN MCCANDLESS: Yeah. Or maybe presymptomatic  
8 diagnosis rather than clinical diagnosis allows the provision.

9           NED CALONGE: Yeah. Okay. Okay. I think we're good.  
10 I'm not seeing body language or nonsmiles from people I'm looking  
11 at in the group, so I appreciate that. Oh, Ash?

12          ASHUTOSH LAL: I'm not so clear about number four. I  
13 would just like to see the language written not just to think  
14 about it so that it doesn't look--

15          NED CALONGE: Okay. We're not taking a formal vote.  
16 We'll get it after lunch. Yeah, give me a chance to type that up,  
17 good. And I'm looking at, you know, I'm giving Shawn and Margie  
18 the top going over and making sure it says what you think it  
19 should say, okay. Thank you. This has been--Jennifer?

20          JENNIFER KWON: Darn it I'm sorry. Jennifer Kwon. I  
21 was in a group with people who had submitted the CMV nomination,  
22 and so I just -- and I was trying to bite my tongue the whole  
23 time, but I was just kind of curious if there was something that  
24 we needed to share about the test, because I think we heard some  
25 things about how long they had spent on the application, and there  
26 is -- I think in their mind, a newborn screening test that doesn't

1 feel like it's a good newborn screening test in our mind.

2 And maybe the simple sight approach you can hash that  
3 out with them, so that that's fine, but I just wanted to share  
4 that.

5 NED CALONGE: I appreciate that, and that is indeed the  
6 intent and hope. Okay. I think we're going to break until 12:30,  
7 and you all earned it.

8  
9 **Lunch**

10  
11 (Lunch break.)

12  
13 **Newborn Screening Ad Hoc Topic Groups: Updates and**  
14 **Committee Discussion**  
15

16 NED CALONGE: Okay. Ash, we have identification before  
17 clinical presentation allows provision of effective therapy to  
18 improve the outcomes for infant screening. Oh, and the case  
19 definition of diagnostic confirmation, so those are the two  
20 additions.

21 I would suggest we go with this and see how it works.  
22 And if we have to revise it, we can revise it.

23 ASHUTOSH LAL: If we can send comments by email later  
24 on.

25 NED CALONGE: That would be great.

26 ASHUTOSH LAL: I think for--I would like us to think  
27 about--

28 NED CALONGE: I understand. Yeah, this is important,

1 and I appreciate that. Okay. Home stretch. I think we're going  
2 to start the afternoon, the after-lunch session with updates on  
3 activities that we identified as important to the ad hoc work  
4 groups.

5 These are occurring through our partnership with APHL,  
6 and we'll now get information on those topics such as health  
7 equity community of practice, follow-up and education group,  
8 examination of higher tier testing group, also known as second  
9 tier testing.

10 Counting of and naming convention for newborn screening  
11 conditions, and other NBS screening updates. We are very happy to  
12 recognize and ask to come up and provide us some information,  
13 Jelili Ojodu, who is just recently back from the APHL meetings.  
14 Thanks for rushing back.

15 JELILI OJODU: Thank you for the invitation. Good  
16 afternoon, everyone. All right. Let's see here. Is there a  
17 clicker? Perfect. All right. So over the next 90 minutes or so,  
18 thank you again Dr. Calonge for the opportunity to present to the  
19 Advisory Committee. It's always a pleasure.

20 This is supposed to be a discussion/dialogue with the  
21 Advisory Committee on activities that we are embarking on, not  
22 just as Newborn Screening Excel, or NewSTEPS, Newborn Screening  
23 Technical Assistance Evaluation Programs, but as a program that is  
24 managing, and a membership organization program that at least in  
25 my part, looks to address a newborn screening related activities  
26 for our members.

1           And we're now going to well, at the prerogative of the  
2 Chair, I think there is the presentation is broken into a number  
3 of sections so at the end of each individual section I think there  
4 will be a time for questions and answers, or comments in between.

5       We're funded by HRSA. All of the activities that I'm going to  
6 talk about today is going to be HRSA funded related activities.

7           This is a funding opportunity that we've had for the  
8 last 13 years ongoing, and this is the 25th anniversary of HRSA  
9 funding a comprehensive newborn screening resource center, not us  
10 for 25 years, but for the last 13 years.

11          So, at the Association of Public Health Laboratories,  
12 the way that we manage the activities on behalf of our members is  
13 through Committees, Subcommittees, workgroups, ad hoc work groups  
14 from the funding that we get from HRSA, we manage a number of  
15 activities, and this is just an org chart of some of the things  
16 that I'll be highlighting.

17          Certainly, there is the NewSTEPS Steering Committee,  
18 they advise us on a number of things that we do. And the NewSTEPS  
19 Steering Committee as you can imagine again, we think of the  
20 newborn screening system. And so folks in that system are pretty  
21 much the folks that are comprised of any one of these Committees,  
22 Subcommittees and workgroup.

23          Our large Committee, as we call it is our Newborn  
24 Screening Committee. On the right-hand side, which is invisible  
25 to you all right now is activities that are also funded by CDC,  
26 which are not shown here, but we certainly have a number of things

1 that we do with CDC through funding cooperative agreements, again  
2 for almost for the last 25 years.

3 I'll be highlighting activities related to follow-up,  
4 not only because it's important, because there has been a  
5 sustained lately, funding for state newborn screening programs to  
6 be able to highlight and address follow-up, whether it's long-term  
7 or short-term follow-up in those programs.

8 You heard from Dr. Calonge about the higher tier, and  
9 sorry I missed the discussions that occurred yesterday, but I'll  
10 definitely be watching that in the coming days, or whenever it's  
11 available. I'm going to talk a little bit about higher tier,  
12 second tier, any kind of tier that is done after that first tier  
13 that it may be necessary to reduce false positive or identify that  
14 newborn that we want to get into a medical home as fast as  
15 possible.

16 Condition counting, we'll spend a little bit of time  
17 talking about it as well, and education. The things that I won't  
18 talk about, but it's important to note is that we also spend a  
19 good amount of time addressing needs related to health information  
20 technology in newborn screening.

21 We spend a good amount of time discussing and  
22 addressing continuous quality improvement, having a, you know,  
23 CCHD is still on the recommended uniform screening panel, and  
24 addressing that through a data response team. In the, I guess,  
25 new disorder is where will newborn screening be without new  
26 disorders in one way, shape or form.

1           Addressing the challenges, opportunities and how states  
2 can implement whatever condition that they've added to their own  
3 state panels. So, the things that are highlighted on the left of  
4 the slide is what I'll be highlighting primarily here.

5           One thing that I didn't add on the slide that I will be  
6 highlighting briefly is the fact that through HRSA funding,  
7 through a supplemental fund that we receive from HRSA, thank you  
8 very much, we are embarking on a multi-pronged approach to address  
9 something that we know is important.

10           Something that is part of HRSA's blueprint, something  
11 that we know that certainly needs to be addressed as part of our  
12 newborn screening community, and that's advancing, or looking at  
13 the health inequity in newborn screening. So, the funds from HRSA  
14 as a supplemental. We are trying to do a number of things.

15           Doing what we do best, which is bringing together folks  
16 as a community of practice to be able to discuss openly, freely,  
17 with some boundaries, the issue about health inequities as it  
18 relates to newborn screening. The why's noted on this slide here  
19 I don't need to highlight it other than the fact that most  
20 newborns get newborn screening is the only thing that is common  
21 for those newborns.

22           We know that there are a number of disparities in  
23 throughout the newborn screening system, and I think it was a  
24 couple, or maybe a year ago now that there was a presentation to  
25 this body by the Dr. Houtrow from I think University of  
26 Pittsburgh, who did a fantastic job on highlighting at the highest



1 level, but she drilled down even deeper into some of the things  
2 that we should be at least considering as we move forward.

3 That, among other things, was the impetus to say we can  
4 take at least the lead in this multi-prong approach, working with  
5 partners in developing the community of practice, talking about  
6 real issues, and then training opportunities with folks that have,  
7 you know, not only do this for a living, but bring this back to  
8 our own newborn screening system.

9 And then ideally, we'll have the opportunity to present  
10 to you all at the request of the Chair, some of the updates on  
11 some activities that we've embarked on in the near future. So, it  
12 was several months ago that we launched a listserv or collaborate  
13 as we call it at APHL where folks can join.

14 I wanted to add everyone from every state and every  
15 part of the system that I was told they probably overwhelmed with  
16 all of the other things and the services that they're a part of at  
17 the moment. So I have about 100 folks that have joined. If  
18 you're interested in joining this particular community of  
19 practice, just email us, or let the DFO here know, and we will  
20 make sure that you get on that particular listserv, but there is  
21 --that's really it.

22 And there's been some really good discussions. That's  
23 why in just level setting, but understanding in fact, what do we  
24 mean by health equity in newborn screening, as it relates to  
25 newborn screening. We plan to collaborate with the Regional  
26 Equity Institute in developing a number of training opportunities

1 for folks in the newborn screening programs.

2 And just like we've done in the recent past use our  
3 data from NewSTEPS data repository to highlight and figure out how  
4 we can better address some of these disparities that we've seen as  
5 it relates to different variables, so that's timeliness of  
6 outcomes, and other variables in order to be able to address this,  
7 so more or less in the coming months.

8 And with that I'm going to pause, and this is going to  
9 be the way that all of the other kinds of aspects are going to go  
10 if they are any discussions, so.

11 NED CALONGE: Questions at this point? You've been so  
12 clear so far.

13 JELILI OJODU: Follow-up in education.

14 JEFF BROSCO: So, Jeff Brosco, sorry. Just to point  
15 out as you were saying Jelili, this is funded through a supplement  
16 through HRSA, so just a reminder that in the four pillars of the  
17 MCHB strategic plan, equity is one of the key ones, and so this is  
18 one of the ways that we're demonstrating that through our funding.

19 JELILI OJODU: Thank you, Dr. Brosco And we really do  
20 appreciate that. It does take a lot for the federal agency to say  
21 that this is important, and certainly will be taking that mantel  
22 moving forward. So follow-up in education, I don't think I need  
23 to say more related to follow-up, but I will.

24 Because of the funding opportunity that we got from  
25 HRSA to be able to stand up Newborn Screening Excel, which is  
26 NewSTEPS, and the opportunity to be able to develop again, the

1 community of practice for follow-up. We've been able to do a  
2 number of really, really fun things with this community, including  
3 among others, strengthening the system, providing guidance, tons  
4 of webinars, a forum for communications, for folks to be able to  
5 have a home.

6 I don't know where the follow-up home stands when it  
7 comes to newborn screening systems. And I think it's a little bit  
8 weird for it to be as part of the Association of Public Health  
9 Laboratories, but it's not weird because we think about newborn  
10 screening as a system, and in fact it's certainly beyond the fact  
11 that there are a number of aspects of the newborn screening system  
12 that needs not only assistance, but you know, just some kind of  
13 identity.

14 The short-term follow-up workgroup, among other things,  
15 also identified needs of different programs to be able to provide  
16 technical assistance, and other kinds of activities. With Newborn  
17 Screening Propel, which I'm sure you've heard quite a bit of over  
18 the last several months, at least.

19 The funding for Propel in those Propel states, I think  
20 they had one of two things to be able to address implementation,  
21 or additional new conditions to their state panels, or whatever  
22 that is going to enhance that, and an increased emphasis on a  
23 long-term follow-up, or follow-up to be general.

24 I think it goes without saying that I believe that this  
25 is the most amount of dedicated funds when it goes to long-term  
26 follow-up that is going to state newborn screening programs to be

1 able to expand, enhance those program capacities and capabilities.

2 Again, kudos to HRSA for that.

3 As part of this long-term follow up workgroup, we're  
4 going to do a number of things. One, is to understand the  
5 continuous evolving landscape when it comes to long-term follow-  
6 up. Five, ten years ago I think a number of folks would say the  
7 intubation of long-term follow-up in state newborn screening  
8 programs, and addressing those kinds of activities should not be  
9 part of long-term, should not be part of state newborn screening  
10 programs.

11 Now, we are seeing more and more states not only invest  
12 in long-term follow-up, but they're thinking about how they're  
13 going to be able to sustain their long-term follow-up programmatic  
14 activities in the near future.

15 I know this has been done quite a bit of times, but  
16 ideally once and for all we want to be able to define the  
17 essential elements as it relates to long-term follow-up. For  
18 those state newborn screening programs that want to be able to  
19 collect it and include it as part of their newborn screening  
20 programs.

21 With the number of newer conditions that have been  
22 added to the RUSP I think it's inherent that's it's not only  
23 important for states to be able to figure out how they're going to  
24 address long-term follow-up, but in some cases a number of other  
25 states need the opportunity to demonstrate the value of this, and  
26 helping them do it in the ways that we've done over the years,

1 whether it's a white paper or a fax sheet, or any one of those  
2 other kind of tools are things that we're going to be working on  
3 in the coming years.

4 And then for our own sake, as a data repository, and  
5 certainly for HRSA as one of the things that they've requested of  
6 us, develop quality indicators similar to all of the other kinds  
7 of quality indicators that we collect. Education, and I think  
8 this is being covered here that I can't see what that is--I can  
9 see it on the screen, education workgroup.

10 So, in collaboration with expecting health, we are, and  
11 we've had a long-term, and we've had a long-term professional  
12 wonderful opportunity to work with, whether it was Baby's First  
13 Test, and now Expecting Health, both programs that the Genetic  
14 Alliance, to work with states and other families to be able to  
15 develop and understand a landscape of the needs through a needs  
16 assessment.

17 Continuously find ways to engage families and share  
18 their experiences on all of our Committees, Subcommittees and  
19 Workgroups. You know, sometimes that can be difficult because I  
20 think sometimes stories are individual, but I think the collective  
21 of these individual stories do inform us as a collective on all of  
22 our programmatic activities.

23 And then find the ways to be able to evaluate, not just  
24 the newborn screening community, but other providers serving  
25 medically underserved and historically excluded communities. I'm  
26 not sure when exactly the survey is planning to go out, a little

1 fuzzy, but I think it's we've been working on this for the last  
2 several months, and I anticipate that a survey will go out to the  
3 newborn screening committees within the next several months.

4 The highlights of all of these activities and  
5 workgroups will be presented at a later date to you all. This is  
6 a way to show, I'm not sure if you can see this in the back, but  
7 to highlight that for every workgroup there are individuals, part  
8 of the newborn screening system that lead the effort to be able to  
9 affect change in one way shape or form.

10 I haven't talked about counting conditions yet, I'll  
11 get to that in a little bit for higher tier, but at least for me  
12 this is a way to show sincerely appreciation for all of those who  
13 take the time and effort to be part of a solution, a solution in  
14 dealing with a number of challenges that we faced in the never-  
15 ending complex system that we call newborn screening at this  
16 moment in time.

17 I think we've highlighted the Committee Chairs for each  
18 one of these workgroups, and certainly appreciate all of the  
19 effort that goes into these activities. For the ad hoc  
20 workgroups, I think you would notice that we've added Advisory  
21 Committee members to be part of that, to be able to not only bring  
22 information back to this group, but also share their thoughts in  
23 the different number of hats that they wear.

24 So, I think I've talked a little about this. I'm just  
25 going to highlight a few things in that this is a great  
26 opportunity to redefine long-term follow-up and working with not

1 just two states or four states, but dozens of states, to be able  
2 to create a lasting effect of what long-term follow-up should be  
3 is something that will be under our auspices in moving forward.

4 Engaging of the community, working with different folks  
5 in the newborn screening systems, and presenting them in national  
6 webinars or our symposium. Demonstrating the value of long-term  
7 follow-up, and I know this Committee has talked about quite a bit  
8 the importance of outcomes. Outcomes for all of the conditions  
9 that we've been adding to the recommended uniform screening panel,  
10 long-term follow-up and the collection of those kinds of data and  
11 coming up with measurable outcomes that we can collect in the  
12 future is going to be key, and that's what we're going to be  
13 embarking on. So with that I'll stop.

14 NED CALONGE: Questions? Hi Melissa.

15 MELISSA PARISI: I guess I just want to ask the  
16 question, I'm feeling a little bit like Shawn McCandless, because  
17 I feel like I ask this question every time, but how are we  
18 defining short-term and long-term follow-up? Because that's  
19 always a question that I am always curious about, and I'm just  
20 wondering if there is a precise definition you're using for these  
21 Committees, thank you?

22 JELILI OJODU: So, that is a great question. And in  
23 fact, I went against something that I normally don't do. I  
24 normally would love to just call it follow-up in general, not long  
25 or short-term follow-up. But the fact that we just haven't spent  
26 enough time on long-term follow-up means that everything that is

1 done right after the baby is called out is what we define as  
2 short-term follow-up.

3 The longer-term follow-up is that anything after a  
4 certain number of either time, years, you know, how can we collect  
5 informable data to be able to highlight or show that what we're  
6 doing in newborn screening, the condition that we're screening for  
7 is actually what you set out to maybe recommend or approve on  
8 these conditions?

9 I think the answer to your question we'll leave to the  
10 Committees as part of their definitions moving forward, but there  
11 hasn't been enough, well concerted efforts to be able to address  
12 long-term follow-up up until the time that HRSA then funded the  
13 states to be able to do things, and I see that Dr. Brosco probably  
14 wants to add a few points there.

15 JEFF BROSCO: Yeah, just a quick point of  
16 information that the workgroup of this Committee we had a report,  
17 and I forgot how many years ago, it's now three or four at least.  
18 And we tried to change it to longitudinal, so that we didn't get  
19 caught up, and some people's long-term is three years, and some is  
20 30.

21 They also laid out a whole bunch of ideas about how  
22 this could be done, and I think this workgroup is following up on  
23 the work that we all did some years ago.

24 NED CALONGE: I'm going to go to Michele first, and  
25 then Ash.

26 MICHELE CAGGANA: Michele Caggana, Committee member. I



1 just wanted to thank HRSA and APHL for paying much more attention  
2 to follow-up. We always talk about the newborn screening system,  
3 and a lot of times that relates just to, you know, people then  
4 just talk about the lab.

5 And then a lot of states probably, most people in the  
6 audience are aware, but in a lot of states the laboratory and the  
7 follow-up operate on different spheres with different chains of  
8 command, and this is a way to help bring that all together, and  
9 really focus on helping people in the follow-up community come  
10 together and share ideas, and work on long-term follow-up, which  
11 we've all talked about for probably my whole career, and is  
12 finally really getting off the ground more, so thank you.

13 NED CALONGE: Ash?

14 ASHUTOSH LAL: Sure. I think the first topic that you  
15 had presented on disparities and inequities, I think that the  
16 framework for thinking about that even more than the initial  
17 laboratory tests is the--I think the long-term follow-up is  
18 probably where we would find the biggest challenge to ensuring  
19 that we have health equity, and that that's the place that's most  
20 likely to get uncovered.

21 And all the effort that goes into it in defining an  
22 infant who has a condition that can very easily be compromised by  
23 the systems under which long-term follow-up has to operate. And  
24 it's subjected to the same kind of--many of the same obstructions  
25 that other medical conditions have.

26 But to the extent that there's federal funding for a

1 lot of patients that are on Medicaid, some of them are, what is  
2 the interest in ensuring that access to specialty care is  
3 preserved, which is what is needed for many of these, if not most  
4 of the conditions that are identified, and restrictions to either  
5 insurance, or to geographical areas or county line, or state lines,  
6 can be reduced or minimized.

7 NED CALONGE: Jeff?

8 JEFF BROSCO: Jeff Brosco. I'll try to take you off  
9 the hook on that one Jelili.

10 JELILI OJODU: I wasn't sure if that was a question, or  
11 more of a--

12 JEFF BROSCO: So Ash, this is a wonderful question, and  
13 it gives us a chance to talk a little bit about, you know, why  
14 we're moving toward the Propel, and soon to be announced CoPropel.

15 JELILI OJODU: Right.

16 JEFF BROSCO: July 1st we should be announcing the  
17 CoPropel winners today, and our goal is to support as many states  
18 as we possibly can to do new conditions, short-term follow-up and  
19 long-term follow-up. And this came out of our blueprint, just to  
20 backup, so everyone can remember.

21 The blueprint was a couple years of working with  
22 families, stakeholders, inside and out of government, and we heard  
23 primarily from families look, you can be doing all this work, but  
24 if it's not having real impact, if we're not seeing benefit to  
25 families and children, then why are you bothering with all of  
26 these activities and really trying to make a system of care work?

1 Exactly what you're talking about.

2 And so, we've been examining all of our programs. Our  
3 newborn hearing screening, and newborn screening in particular,  
4 saying it's fine to identify the child, but they don't go on to  
5 get all the care they need and thrive, then the screening didn't  
6 help as much as we thought, so this is part of that broader idea.

7 And we also have a number of programs working with AAP  
8 our grantee on the blueprint consortium implementation, to look at  
9 the largest system of cares issue because it's not just for  
10 children and newborn screening of course, it's all kids with any  
11 chronic condition.

12 So, there is a lot of work going on, and the Propel,  
13 Co-Propel is the specific newborn screening part of it. I'll also  
14 say that our regional genetic networks are ending, right? I'm  
15 sorry. And this is sad for all of us, but we decided it was  
16 really critical to take those resources and put them into this  
17 sort of systematic approach to improving outcomes for all  
18 children. So, your question was exactly right on, thank you.

19 NED CALONGE: Debbie?

20 DEBRA FREEDENBERG: Yeah. So I just wanted to say that  
21 on the long-term follow-up, obviously there have been a lot of  
22 years of discussion in this short-term follow-up, and to  
23 appreciate taking on the huge cultural shift that this is going to  
24 require in a lot of newborn screening programs and states.

25 Because up until recently a lot of the programs were  
26 feeling that this was not in their purview, and that this was

1 clinical care, and they were public health, and were not going to  
2 get involved in it. So I just wanted to add another voice to say  
3 thank you for taking this on and trying to improve the system.

4 JELILI OJODU: Thank you.

5 NED CALONGE: Michele?

6 MICHELE CAGGANA: Michele Caggana, Committee Member.  
7 Also just wanted to let this group know that if they're not aware  
8 that the CLSI group has come up with, getting back to definitions,  
9 they have developed a bunch of terms around newborn screening, and  
10 so it might be a good thing for people to take a look at, and so  
11 we're all talking about the same thing, defining it the same way.

12 CLSI. Clinical Laboratory Standards Institute.

13 NED CALONGE: Robert?

14 ROBERT OSTRANDER: Thanks, Robert Ostrander, AAFP. I  
15 just want to expand a little bit on what Jeff said, and when  
16 you're collecting information, in the long-term follow-up, on  
17 longitudinal follow-up and treatment workgroup, it became quite  
18 clear that there were two aspects of that.

19 One was the outcomes thing that you mentioned, which by  
20 the way I wondered if for these more rare conditions it might  
21 actually be easier to get the outcome side, because the registries  
22 are going to be small. But the other part is the structure of  
23 what the longitudinal follow-up looks like.

24 I'm not--you know, I don't think it's necessarily in  
25 your purview to recommend what that should look like, but it would  
26 really be good if part of your dataset wasn't just what the

1 outcomes were, but what does the care look like in different  
2 places, because you know, it's a constantly evolving issue.

3 And care coordination between the primary care piece,  
4 and the specialty piece is a conundrum, and it would be nice if  
5 there was some place where there was a landscape survey so that  
6 people could look at it, and perhaps you know, get some lessons  
7 learned in developing.

8 So I would suggest there ought to be two datasets, if  
9 you will, of long-term follow-up. What are your thoughts, or is  
10 that already your plan?

11 JELILI OJODU: No, we have not. We spent, I think this  
12 is our third month of actually discussing these things, so three  
13 90 minutes, or hourly calls. These are good things to be able to  
14 take back to the workgroup though, knowing that we want to be able  
15 to address reachable goals immediately through our funding  
16 opportunity with HRSA, to be able to demonstrate that not only can  
17 it be done, I'm totally convinced that the Committee of practice  
18 that we are fostering is going to be able to not only provide and  
19 guide us, but tell us exactly what they need.

20 But certainly, bringing this to the Advisory Committee,  
21 and then getting some input about what additional thoughts or  
22 data, or ideas should be brought into play, so to be continued  
23 with, just too early to be able to get there yet.

24 NED CALONGE: Great, higher tier testimony.

25 JELILI OJODU: Higher Tier. I don't think I need to  
26 spend too much time on the background, but it's on the slides

1 here, and many thanks to my colleagues who developed these slides  
2 for me to present today. But it's become even more important to  
3 be able to not only provide, highlight, demonstrate, that second  
4 tier or higher tier testing is needed for some of the conditions  
5 that we're screening for.

6 And similar to the other things that I had mentioned in  
7 the previous discussion demonstrating the values to some folks in  
8 leadership, you're doing screening, you're doing first tier, why  
9 isn't that enough in making sure that the baby gets into the  
10 medical home? Why do we need a second tier? Why do we need that  
11 additional cost on those, you know, not that many specimens to be  
12 able to do that. And again, in light of some of the recent  
13 conditions that have been added to the RUSP where this group is  
14 not only suggesting the case definitions of those conditions, but  
15 in fact saying that we want to pick up the early onset.

16 And the only way that we can pick up the early onset is  
17 with one of these higher tier tests. I think it begs the question  
18 then, you know, why aren't we all doing this? Well, that's why  
19 we're here to be able to harmonize things, and to make it easier  
20 for them to be able to do this.

21 This came up and I think we can take credit here. This  
22 came up as part of the lab's subcommittee's activities that one of  
23 the subcommittees of this Advisory Committee that you all have  
24 been meeting for years, but, and then it got punted to us as APHL  
25 to be able to then move forward as an ad hoc workgroup, building a  
26 number of model practices, and a model collaboration for folks to

1 be able to enhance and expand their capacities to be able to bring  
2 on higher tier testing.

3           Again, and I should say this again, most of these work  
4 groups started at the end of 2013, I mean 2023, I lost a decade  
5 there. And so, we literally, I mean it's great that I'm here  
6 presenting all of this, but I anticipate that in the very, very  
7 short amount of time that we hope that we'll be able to have  
8 concrete information, tangible things that we can then say that we  
9 want to be able to move forward with.

10           The objectives of this higher tier workgroup are listed  
11 here, but it's to also demonstrate and examine the landscape of  
12 higher tier testing in newborn screening programs across the land.

13           Be able to then describe again, it sounds easy, but we really do  
14 need to be able to describe and prioritize why it's important to  
15 have the utility of that tier testing.

16           Highlight those existing models of collaborative,  
17 identify barriers. It takes, and I know this for a fact, first  
18 from some states, it takes 18 months to two years to be able to  
19 get a contract regardless of where you're sending it out to,  
20 whether it's another state, or one of our commercial partners to  
21 be able to do higher tier testing.

22           You know, so it's not like the state is not trying to,  
23 but those barriers, now we can be able to inform decision makers  
24 on these kinds of issues is important, and ensure these models  
25 have practices across a newborn screening program. For everything  
26 that I say here I should also highlight that part of what's under

1 our charge is also to be able to develop, for lack of a better  
2 word, a marketing plan.

3 How best are folks going to be able to digest this, and  
4 be able to then affect change as soon as possible? So, more to  
5 come on that, but the desired outcome is listed here to determine  
6 barriers to sustain across state collaboration for higher tier  
7 testing, and solutions to be able to improve that positive  
8 predictive value, and then obviously, coming back here to be able  
9 to present all of this to you all.

10 We met, they met, a few times, and I think I'd be  
11 remiss if I didn't highlight Dr. Dizikes is in the audience here,  
12 who is one of the two Co-Chairs of this particular workgroup. I  
13 probably will be punting any hard questions to him, but at the  
14 prerogative of the Committee Chair for him to be able to speak.

15 But thank you to HRSA again for funding this  
16 opportunity to fund them to also to come together for a  
17 discussion. We'll be meeting sometime in the D.C. area at the end  
18 of July. And then, again there are developing surveys, they're  
19 developing barriers and challenges. They're developing how we're  
20 going to be able to market and figure out and highlight who does  
21 what.

22 How many states, or how many entities do Psychosine  
23 testing for the condition that you just added to be, well sorry,  
24 the condition that has been voted on by this body recently, and I  
25 don't think it has been added yet to the RUSP. For folks in any  
26 state to be able to see and know immediately, this is what it is,



1 and this is probably the cost, and this is what it's going to take  
2 for me to be able to do that.

3 We'll be hosting a number of listening sessions and  
4 webinars in the coming months on how to be able to address those  
5 challenges, and I think I'll stop there.

6 NED CALONGE: Melissa?

7 MELISSA PARISI: Melissa Parisi, NIH. I just have a  
8 question for states that do screening and have send outs for some  
9 of their secondary, or second or third tier testing. Do the  
10 states every bond together and negotiate contracts with some of  
11 the specific testing laboratories to try to get a reasonable rate  
12 given the fact that hopefully these tests are going to be  
13 relatively uncommon, but you know, you're going to be regular,  
14 kind of repeat requesters, so I'm just wondering if that  
15 negotiation ever comes into play?

16 JELILI OJODU: I can--I mean there are a few of them in  
17 the audience here. It's, Dr. Parisi, that question is I mean I  
18 think states do talk to each other when it comes to getting the  
19 best deal for either the first tier, second tier, or whatever.  
20 However, it's almost always very difficult to be able to look at  
21 how you can get the same kind of bargain from one state to  
22 another.

23 It's a package, it's the number of screens, it's the  
24 number of babies. It's, are you ordering paper from that  
25 manufacturer? Are you, you know what else do you have that's part  
26 of the deal. The good thing is that there are a limited number of

1 states or commercial entities that provide these second-tier  
2 tests, and they are almost always readily available to be able to  
3 communicate, and reach some kind of deal with the state to be able  
4 to do the test.

5 That said, there are two, at least, states that are in  
6 the audience here that maybe can share their thoughts about your  
7 question.

8 NED CALONGE: Susan?

9 SUSAN TANKSLEY: Susan Tanksley, Organizational Rep for  
10 APHL, and I'm with the State of Texas Newborn Screening Program,  
11 and I think it's an interesting concept, and I wondered Jelili, if  
12 there might be an approach through the workgroup similar to like  
13 public health pricing that's been achieved with some of the  
14 manufacturers for equipment.

15 I don't know if that's something that the higher tier  
16 workgroup could do. Typically, the contracting process is very  
17 difficult for states, and so if there would be some way to  
18 streamline that process. Unfortunately, it's the, you know, 53  
19 newborn screening programs all with different rules for  
20 contracting too, that plays into the difficulty of that approach.

21 I think it's a great idea if we could figure out how to do it.

22 NED CALONGE: Scott, is this on the same issue?

23 SCOTT SHONE: Yes, Doctor. Scott Shone, Org Rep for  
24 ASTHO. I agree with Susan. I will say that you know the biggest  
25 barrier to cross jurisdictional types of agreements, whether  
26 they're procurements or MOAs about operations or terms and

1 conditions, and once counsels get involved the terms and  
2 conditions between states vary substantially in there are  
3 statutory requirements that certain states have that other states  
4 don't.

5 And so, what I would like to suggest that if that is  
6 something that either APHL, one of our work groups generally, or  
7 the Committee wants to pursue the National Association for State  
8 Procurement Officials, NASPO. They are responsible for--they are  
9 the organizational group for all the procurement officials across  
10 each state in the country.

11 They do negotiate large contracts, for example, with  
12 some of the commercial carriers, overnight carriers, and many  
13 states sign on to those agreements, and so when the NASPO contract  
14 with UPS expired last year, it was a pretty important impact on  
15 all programs, both newborn screening and otherwise in public  
16 health, and then that had to be renegotiated, so basically states  
17 could sign on to that.

18 That might be an opportunity, you know, because these  
19 are competitive bids and it generally said there are pros and cons  
20 to having a limited number of vendors in a competitive market for  
21 the same service, and so but it's going to be incredibly difficult  
22 for a large group of states to negotiate and agree on terms and  
23 conditions, but the NASPO path might be an option.

24 NED CALONGE: Thank you Scott. Shawn?

25 SHAWN MCCANDLESS: Shawn McCandless, Committee member.  
26 I'm wondering if you could comment on whether there's any

1 anticipated impact from the FDA's rule change regarding laboratory  
2 developed tests, and what the impact of that may be on the  
3 relatively small number of labs that are offering both state labs  
4 and commercial labs that are offering second tier tests for  
5 newborn screening.

6 JELILI OJODU: Thank you Dr. McCandless. So that is  
7 actually one of the other slides that I have made later on, and I  
8 hope to be able to get to it in the near future. I will say this  
9 about the new 533 page report that just came out is that I have  
10 not spend enough time looking through it. And our organization,  
11 and I'm sure a number of organizations are doing the same thing  
12 right now, figuring out how this is going to impact for us, at  
13 least, newborn screening and genetics.

14 I will say though that this is something that's going  
15 to be important for this body to be able to not only address, but  
16 figure out the implications, especially for these newer  
17 conditions. There are things that I believe have been  
18 grandpersoned in before May sixth, things as in conditions, or the  
19 testing for those activities.

20 And even with those, there is limited amount of  
21 oversight from the FDA over the next three to four years for  
22 those. For future conditions that require or either an FDA  
23 approved kit for the newborn screening program to be able to bring  
24 on, my personal thought is that it's going to take a little bit  
25 longer, or the investments in the state newborn screening  
26 programs, hopefully in collaboration with the Centers for Disease

1 Control and Prevention, with our friends at FDA, with our  
2 commercial friends, to be able to figure out an FDA approved assay  
3 for some of these.

4 It's the requirements on states, and not all states can  
5 be like the great State of New York when it comes to those kind of  
6 developmental activities. It's going to, in my opinion, limit the  
7 availability of the immediate availability of tests as we have  
8 done in the past, so that's a short answer to the long question  
9 that I've read it, our organization is working on a quick overview  
10 of the implications to not only newborn screening, but infectious  
11 disease and other kinds of things as it affects our community.

12 But there will be a change, and someone has to be able  
13 to pick up the mantle of developing these tests. That is going to  
14 be brought up to this Advisory Committee for suggestive or  
15 inclusion in the near future.

16 NED CALONGE: We look forward to the APHL cliff notes,  
17 thanks. And Jennifer?

18 JENNIFER KWON: So, Jennifer Kwon, Committee member.  
19 So as someone who is not really very close to the laboratory  
20 processes, but for whom higher order testing really is profoundly  
21 helpful in determining the severity of the condition, how quickly  
22 we need to manage a condition.

23 I was just curious if there was any mandate to hold, to  
24 sort of adhere to the RUSP guidelines, for example, with Krabbe  
25 disease for their cutoff, because we already have states who don't  
26 have the cutoff in place. And that may not even be part of the

1 scope of this ad hoc group. I'm not sure what the consideration  
2 is.

3 The other topic that comes up frequently, at least in  
4 neuromuscular clinician meetings is the value of being in a state  
5 that provides SMA2 copy numbers when we receive our SMA referral.

6 It is incredibly helpful. I cannot overestimate how important it  
7 is for us to know that we're going to be getting a baby with two  
8 copies because just the language of what we say on the phone is  
9 quite different.

10 JELILI OJODU: Yeah.

11 JENNIFER KWAN: So I think like that I just wonder if  
12 there is any opportunity to loop in clinicians who are seeing this  
13 information, rather than having to primarily be a group to help  
14 standardize and refine the laboratory techniques and turn-around  
15 time.

16 JELILI OJODU: Yeah. I think it is a good point, and  
17 it's a gradual process. I would think that the logical  
18 progression of things from the development of this workgroup will  
19 lead to that. Are there, is part of their scope to highlight in  
20 fact, you know, greater than 10 for Psychosine? No. Not that I'm  
21 aware of at this moment in time.

22 I think we want to be able to demonstrate not only the  
23 value of higher tier testing, address barriers for state newborn  
24 screening programs, but to be able to just highlight exactly where  
25 you can get these tests, you know, as you improve, you know, your  
26 testing capabilities and programs. Maybe that will fall later on,

1 you know, in the coming months.

2 NED CALONGE: Christine?

3 CHRISTINE DORLEY: Christine Dorley, Committee member.

4 So Jelili, I just had a question. As a proponent of second tier  
5 because we have adopted a couple in the Tennessee laboratory, I  
6 was just wondering with second tier testing sometimes when you're  
7 testing, you're actually finding a diagnostic marker. And I think  
8 about CAH and steroid profiling so to speak.

9 So my question was how is this Committee, which I am  
10 part of it, but how do we balance screening, which is not  
11 diagnostic in some of these second tier tests, which may be a  
12 diagnosis? How do we balance that? And it leads me to thinking  
13 about the definition of newborn screening because if we are doing  
14 a second tier that has a pathognomonic marker that we're  
15 identifying, and it helps, and it is diagnostic, this may be where  
16 we need to broaden that definition of newborn screening.

17 I don't know, but the thought about this is also maybe  
18 why some states are a little bit hard pressed to add a second-tier  
19 assay because of that definition that is now kind of shady as to  
20 what newborn screening actually is. Any thoughts on that?

21 JELILI OJODU: You mean the shady definition. I think  
22 it's true. No, I agree with you. It goes to everything that we  
23 talk about here when we talk about developing a case definition to  
24 be able to now and focus on what we're addressing, a condition or  
25 otherwise, but I think we need to highlight and demonstrate the  
26 utility and the effectiveness, and the reason why higher tier

1 testing is important in newborn screening first.

2 Other, it's almost like the core conditions and the  
3 secondary targets, and the incidental findings, and all of that  
4 fun stuff, which is on my next slide for counting conditions. I  
5 mean we will address that when we get to that point. And you  
6 know, three or four months down into this path, we have to be able  
7 to demonstrate again tangible, reachable goals.

8 You know, if this, as your Committee member comes up as  
9 something that is important that we need to be able to address  
10 because this is a reasonable barrier that some states are not  
11 bringing this on, then we'll develop those talking points, and  
12 then figure out how to market it.

13 But again, we've been doing second tier testing, or  
14 higher tier screening for a while. You know, some of the reasons  
15 that we're talking about here should be straight forward, and I'll  
16 stop there.

17 CHRISTINE DORLEY: I just want to make another point  
18 that the reason for higher tier testing is because we have we have  
19 inadequate first tier testing that produces tons of false  
20 positives, so you don't get a clear picture of who actually needs  
21 to be followed up, so I think it goes back to those vendors of  
22 these test kits that we are using to maybe hone in on deciding on  
23 better markers. That's just my opinion.

24 And you know, if that was to be done, and you could  
25 actually, you know, tell a true positive from something that is  
26 true negative, then we wouldn't need higher tier testing.



1           JELILI OJODU: Yeah, from your mouth to God's ears, I  
2 think there are a number of folks that will say the same thing.  
3 Obviously, if we had a better first tier test we wouldn't need  
4 this, but we don't. And maybe that's another subcommittee that  
5 then addresses how we can have better first tier tests, but that's  
6 for another discussion, Dr. Dorley.

7           NED CALONGE: Cindy?

8           CYNTHIA POWELL: Cindy Powell, ACMG Org Rep. In  
9 addition to considerations that have already been mentioned  
10 regarding the higher tier testing, I appreciate what APHL is doing  
11 to address this issue. One thing as when the higher tier testing  
12 is taken out of the newborn screening program, and put into  
13 clinical care, we're often faced with--especially when those tests  
14 involve genetic types of testing, is that you know, we can't get  
15 coverage of it through, you know, Medicaid, especially with  
16 privatization of Medicaid coverage and private insurance  
17 companies.

18           So as a clinician, we can be faced with having to tell  
19 a family that, you know, your child has a condition that's been  
20 picked up through newborn screening, but we're not going to be  
21 able to tell you what it is due to, you know, an inability to get  
22 that paid for, so just another important consideration. I do  
23 believe that it should be part of newborn screening, not that the  
24 laboratories have to be doing all these additional tests, but just  
25 that there be some standard about, you know, to make sure it gets  
26 covered. Thanks.

1           JELILI OJODU: Thank you. And I agree with you 100  
2 percent, Dr. Powell. This goes to the health equity aspect of it  
3 as well, and a number of folks have mentioned that in fact it will  
4 be helpful to just do molecular testing for hemoglobinopathies in  
5 the newborn screening arena before it gets to, as to what you just  
6 described there, so that every newborn will have the same kind of  
7 access to this kind of testing because that's not the case, but to  
8 be continued.

9           NED CALONGE: Jennifer?

10          JENNIFER KWON: So just quickly, I had wanted to ask  
11 Dr. Dorley what is the concern that she had about screening versus  
12 diagnostic testing because of course the SMA newborn screening  
13 test is really a diagnostic test. We call it a screening test.  
14 We always send confirmatory testing to, you know, confirm the  
15 result and the accuracy of the patient.

16          But, you know, it's a pretty--it's pretty much a  
17 diagnostic test.

18          CHRISTINE DORLEY: So, my response as I mentioned  
19 before, I am a proponent of second tier testing. For Tennessee,  
20 we send out for the copy number. And I do realize the seriousness  
21 of getting that diagnosis, and knowing what the copy number is  
22 because that helps with the treatment aspect of it. So I'm not  
23 against second tier testing at all.

24          My message, or my question is that there are so many  
25 labs that do not venture into that realm of second tier testing,  
26 even though there are a lot of false positives that are reported,

1 and from studies and discussions it overwhelms the newborn  
2 screening follow-up system.

3 But where is that fine line? How do you define newborn  
4 screening versus the diagnostic portion because a newborn  
5 screening laboratory is not a diagnostic lab? And so those lines  
6 are blurred, and that's why I was thinking there needs to be a  
7 broader definition of what newborn screening actually is because  
8 we're entering into the realm of helping to diagnose a baby.

9 JENNIFER KWON: Thank you.

10 NED CALONGE: Debbie?

11 DEBRA FREEDENBERG: Yeah, so actually I'm following on  
12 both Jennifer's and Christine's comments, AAP Organizational Rep.

13 So really the question is that as more molecular diagnostics are  
14 coming onboard as they say, the line is getting blurred between  
15 diagnosis and screening. Is there any thoughts about including  
16 some sort of workgroup related to the molecular diagnostics, and  
17 not necessarily the technical aspect, because I know that exists,  
18 but how that interfaces with the follow-up and clinical care.

19 Because when you get your molecular diagnostics, you  
20 pretty much know your answer, even though you say you're screening  
21 until people repeat. And so I was wondering if there had been any  
22 thought about addressing that aspect of things?

23 JELILI OJODU: Not at the moment, but duly noted.

24 NED CALONGE: Okay. Condition naming and counting.

25 JELILI OJODU: Thank you, Dr. Calonge. So, the  
26 background here is that fortunately we call and name different

1 conditions different things across the system. There is a lack of  
2 harmonization of what--I mean not just what you see on newborn  
3 screening panels, but how we call the nomenclature around the  
4 conditions, and how those conditions are counted.

5 And I think this has been brought up to your attention  
6 quite a number of times, including our Org Rep. Dr. Tanksley  
7 highlighting this, and she's one of the two Committee Chairs as it  
8 relates to this particular workgroup. But why here, and I hear  
9 this from time to time is that why does it matter?

10 This is something that we need to articulate a little  
11 bit better, but why does it matter that a state states that they  
12 screen for 70 conditions, and another state say that they screen  
13 for 33 conditions? And it becomes so, I mean, it's complex as it  
14 is already, but the dynamics around that, and knowing in fact that  
15 there aren't that many, the differences between the 70 and the 33  
16 when you actually look at it objectively is, in fact, that they  
17 are more harmonized than they look.

18 The implications is also political, but I'm not going  
19 to get into that right now, but over the last several years APHL  
20 listened to our membership, developed the framework for  
21 standardizing counting conditions, represented 17 members across  
22 the newborn screening system, but it also involves something that  
23 Dr. Dorley said a minute ago. What is our main objective in  
24 newborn screening, the screening part and this blur as noted when  
25 it comes to diagnosing or diagnostic testing?

26 As part of that work group and activities, I think we

1 came to a conclusion, and we've worked on a number of things,  
2 including the development of the definition of screening. Late  
3 last year the Advisory Committee, this Advisory Committee, thought  
4 that it was going to be important, not only to figure out how we  
5 can better harmonize what we call conditions, but how we count  
6 conditions, and so this is the second ad hoc workgroup.

7           Again, the only difference between the two years ago  
8 and now, moving forward, is that we have folks from the newborn  
9 screening system as noted here, as well as Advisory Committee  
10 members. Again, and once we--the idea is that everything that we  
11 develop as part of the workgroup will come to you all as part of  
12 suggestions, that you then take and move forward, and in helping  
13 address reporting and recommendations, or potential  
14 recommendations for the newborn screening system.

15           But the desired effect is for some kind of national  
16 standardized understandable, not only nomenclature, but way of  
17 counting conditions. And we can stop at RUSP and say count the  
18 number of core RUSP conditions, but again, when you go and look at  
19 different websites, even defining what those core recommended in  
20 screening panel conditions are, are different between  
21 municipalities.

22           Again, under the guidance of Dr. Tanksley they have met  
23 monthly since 2021. That work has continued with the revised  
24 scope of addressing not only counting but figuring out a standard  
25 language for each particular condition. The talking points I  
26 noted here is that we need to better articulate why we need to

1 harmonize counting conditions.

2           And there will be pushback. I heard Dr. Calonge noted  
3 earlier that we have no way of taking anything off, whether it's a  
4 condition that's been added to the RUSP, or other kinds of  
5 recommendations here. And so, once these conditions or disorders  
6 are added, it becomes pretty much becomes the gospel, and  
7 something that states almost always have to do.

8           The idea is then to present the suggestions to the  
9 Advisory Committee for some kind of national endorsement, but the  
10 suggestions will also come with how we plan to market all of this.

11           And I think that's going to be one of the toughest  
12 aspects of counting or naming conditions in that it's not just the  
13 laboratorians or the clinicians that we're talking about, but the  
14 families, and better understanding when we define what is on the  
15 core recommended uniform screening panel, and other things that we  
16 pick up as part of testing for that core, should those secondary  
17 targets or conditions be added, and be counted, so more on that  
18 later.

19           These are just the next activities that they're going  
20 to embark on. They will be able to meet in-person. There is and  
21 are some discrepancies in just the information that is on that  
22 original foundational paper from HRSA / ACMG report from 2006, and  
23 then on the website that we would love to be able to suggest some  
24 ways to be able to move forward, better align our activities.

25           There are folks who would just love to be able to just  
26 not highlight the secondary conditions that we have right now

1 because of the confusions around it, and then get the word out. I  
2 talked quite a bit about implementation and communication and  
3 marketing, but we're going to work on a dissemination plan, so at  
4 the Committee discussions.

5 NED CALONGE: Thanks for taking on this difficult, but  
6 important topic.

7 JELILI OJODU: Dr. Tanksley, did I miss anything in  
8 that highlight there?

9 SUSAN TANKSLEY: I think you have it covered unless  
10 someone has questions.

11 NED CALONGE: Ash?

12 ASHUTOSH LAL: I hope if you can clarify when you say  
13 secondary condition counting, and I understand this isn't ready  
14 for in-depth discussion, but are we talking about secondary  
15 conditions after the second tier testing has been completed, or is  
16 it just based on the primary?

17 JELILI OJODU: So, let's just use  
18 hemoglobinopathy as an example. I think there are different ways  
19 that states are counting that. On the recommended uniform  
20 screening panel there is the presence of S, C and I think Beta  
21 Thalassemia. And then that's on the recommended uniform screening  
22 panel. On the secondary condition, not targets, but it's  
23 interchangeable depending upon where you look.

24 There are other hemoglobinopathy variants, and it's  
25 just listed as that. There are folks that count each and every  
26 one of those hemoglobinopathy variants as part of what they test

1 for, or a part of the conditions that they add. And I think the  
2 idea is just to better either understand, and then in a nice way  
3 say that this is we're looking for the conditions on the RUSP, and  
4 the other conditions that we find as part of a secondary condition  
5 or a target are important, but they should not be counted.

6 At least that's my impression of some of those  
7 activities that they're talking about in moving forward.

8 ASHUTOSH LAL: Sorry. I understand that part. My  
9 question is if the conditions are being picked up on primary  
10 screen, and they're not taken for second tier testing, or higher-  
11 level testing for confirmation, the secondary conditions. Or are  
12 we talking about the secondary condition counting after the higher  
13 tier testing has been completed? So that's the if you say if you  
14 had a variant in your first tier, or first tier screen, would that  
15 be same for molecular confirmation if the state was part of doing  
16 that?

17 JELILI OJODU: Yes. That would be. But should that be  
18 counted as a condition that the state is screening for? I think--  
19 did you want to add some thoughts there?

20 SUSAN TANKSLEY: I think you answered it Jelili. But  
21 essentially, we are trying to determine when a state should count  
22 for the purpose of saying my newborn screening program is  
23 screening for 33 conditions, whether hemoglobinopathies, is that  
24 counted right now on the recommended, on the core panel, there are  
25 three, so right now that would count as three.

26 But it sometimes is counted as three, sometimes counted



1 as four because of the on the secondary it's the other  
2 hemoglobinopathies, or maybe it's counted as 13. Just, so we are  
3 trying to come up with guidelines that states would utilize  
4 literally when you're talking about what are we screening for. It  
5 doesn't mean that it wouldn't go to second tier testing.

6 So, there's what you're screening for, and then there's  
7 how many cases you identify. Those are two different questions,  
8 and we are trying to focus on the first one, and that information  
9 would then later feed into how you actually count the cases that  
10 you identify. Does that make sense?

11 JELILI OJODU: Thank you Dr. Tanksley.

12 NED CALONGE: Natasha?

13 NATASHA BONHOMME: Hi, Natasha Bonhomme, Genetic  
14 Alliance. Thank you for the presentation. So far all of it has  
15 been really great, and particularly this last piece, which is  
16 something that you and I have talked about for almost the better  
17 part of almost two decades.

18 I guess a question I have is you know, I saw the  
19 information about the tool kit and the marketing and getting the  
20 information out to families and advocacy groups. But you know, in  
21 my experience, in the experience through Expecting Health, you  
22 know, whether it was Baby's First Test, or when we did the initial  
23 build for the NBSIC, the biggest questions come from state  
24 programs themselves.

25 You know, it isn't advocacy groups pushing for one  
26 name, or one count over another. It tends to be from within your

1 membership, or within the APHL membership. So, could you just  
2 speak to a little bit more what are the efforts going to be to  
3 have when this process is complete, states actually do that  
4 adoption, or I don't want to go as far as to use a word like  
5 adherence, but you know, that's really where it's going to start  
6 because so often the websites that you mentioned are only  
7 reflecting the information that's given to them from programs, so  
8 that would be helpful. Thank you.

9 JELILI OJODU: That's a great question, and I'm not  
10 sure if I have the time to be able to go through all of the  
11 thoughts because we haven't developed them yet. I will say though  
12 that the buying aspect, especially from member laboratories, is  
13 going to be crucial. You noted it quite well that in fact it's  
14 the states and this effort. This is part of the why and  
15 understanding is the juice worth the squeeze, as one of my  
16 colleagues will say time and time and again.

17 That, is it worth the effort to do this if states are  
18 not adopting this new paradigm shift, or whatever we come up with  
19 as part of this? Natasha, I think we're still in the early  
20 processes of better understanding how we're going to be able to  
21 not only inform but move the needle in this topic. But if you  
22 have any ideas, certainly I would love to be able to incorporate  
23 that.

24 And as part of our updates to the Advisory Committee  
25 we'll certainly be bringing the progress of our activities in  
26 moving forward. But yes, thank you for bringing that up, and it

1 will be an interesting--it will be interesting for us as we move  
2 forward. So I don't think there's any other hands here, so I'll  
3 quickly run through this.

4 And I think I'm a little bit out of time, but if not  
5 I'm just going to quickly run over the last slide. As part of  
6 newborn screening, it's important to understand and note where we  
7 are at the state of the states. I have the opportunity to give  
8 this quick overview for another meeting, not too long ago.

9 That in fact there are programs, and I know that we  
10 like to highlight the fact that there are 53 programs, or 56  
11 programs, and each one does things differently. But I would stand  
12 in front of you, I am standing in front of you, and I will say  
13 that there is more harmonization in states than, you know, the  
14 lack of standardizations.

15 That there are 36 newborn screening programs, that not  
16 all states have a laboratory that they use for testing, that they  
17 outsource the testing to another state public health lab for all  
18 kinds of reasons, or a commercial entity, that almost not every  
19 state has a follow-up program, and in fact that what I highlighted  
20 early it came through HRSA's funding that 17 states are reporting  
21 some form of long-term follow-up.

22 Again, we need to figure out what that definition is,  
23 and what they're talking about, but we'll come back and tell you  
24 that later. And they don't just have to outsource to a state  
25 public health lab, they can outsource to a commercial entity as  
26 seven state programs do.

1           That states either test their samples for the most  
2 part, that there's a mandate to screen, a required one screen or  
3 two screen. The first screen 24 to 48 hours, or somewhere around  
4 there, and then that second screen for those states that are  
5 highlighted on this slide, there is a mandate to do a second  
6 screen 10 to 14 days out, and there's been a number of reasons why  
7 states are justifying this, but mostly it's to be able to pick up  
8 a number of underpinned conditions that they believe that those  
9 will be missed if they only do one screening.

10           This is the state of the states as it relates to the  
11 newborn screening and how states are screening. States and  
12 territories, not only all of the states, but the territories as  
13 well. And we almost always focus on the recommended uniform  
14 screening panel.

15           If you want to know if a state is screening for  
16 conditions that are outside the recommended uniform screening  
17 panel, you can find that information on our website, [NewSTEPS.org](http://NewSTEPS.org).

18           But we love to be, just for harmonization purposes, it's  
19 important that we use, and I strongly believe this, that the core  
20 panel is that N that we use here, and this is where we are for  
21 that.

22           I don't need to highlight this other than the  
23 conditions, the last condition that was added was GAMT. And this  
24 is just another way of showing that states are screening between  
25 31 and 37 recommended newborn screening panels. I think there was  
26 a lot of discussion about--I know that there was a lot of

1 discussion about the public health system impact.

2           How long does it take? What is the feasibility and  
3 readiness of adding the conditions? How have we done the last  
4 number of conditions that we've screened for? We wrote a paper  
5 about this. We continue to collect information about this. As  
6 you can see here the average number of years to implement a  
7 condition after it's been added to the RUSP, you know, the longest  
8 right now seems to be Pompe, but it is--it ranges from between 2.2  
9 years, and 4.8 years.

10           And again, we're able to screen, bring on SMA faster  
11 because of the implementation and the development of molecular  
12 technologies as a first tier for SCID, and that's where you see  
13 the differences here, but we continue to collect this information,  
14 and use it to make informed decisions.

15           That states charge different amounts for newborn  
16 screening. For the most part it's a fee for service. There are  
17 states that don't have a fee, but it's part of their general fund.

18           That the average, the most number of states average about, you  
19 know, charge \$100.00 to \$150.00, and because of some of the states  
20 that are bringing on other conditions that are not on RUSP in  
21 particular, cCMV on that we are actually some of these states are  
22 charging about \$200.00.

23           That 20% of states are not open six days a week at  
24 least. Most states are open six days a week, and then  
25 approximately 25% of states are open every single day of the week.

26           I think this is important, especially when we continuously find

1 ways to be able to make sure that that newborn, whenever that baby  
2 is born, regardless of whatever holiday is embedded in between any  
3 one of those days can get that test, and report that result out as  
4 a recommendation from you all, for time critical conditions within  
5 five days, and then all of the conditions within seven days.

6 And then finally, I think this is my last slide, and  
7 I'm not going to spend too much time on the second and third  
8 bullet, other than to highlight some of the things that have been  
9 said. To Dr. McCandless' point earlier, I think it will be very  
10 important to hear from state newborn screening programs, as well  
11 as other federal entities, as the effects of the new FDA  
12 regulations on, and the impact of that on newborn screening  
13 programs.

14 I do believe that there is going to be--there will be a  
15 major shift that is going to affect our thinking, and the way that  
16 we do things here, but more on that later. That newborn screening  
17 is almost always still either in the news for different reasons,  
18 whether in these two cases the residue dry blood spots, so it was  
19 interesting to hear the discussion earlier about N equals to one,  
20 which I believe that that is very important as we move forward.

21 But to do retrospective studies on specimens that are  
22 not available because of these lawsuits, and there are more and  
23 more states that are destroying their spots and not the  
24 availability of spots to be able to do any kind of potential lab  
25 developed tests, or any tests, dwindles by the day, is something  
26 that is going to affect all of us.

1           And so, it starts with the residual dry blood spots,  
2 but it's now getting into the fact should newborn screening be  
3 done? And more on that later. And then the symposium. We do  
4 have a symposium coming up, so it's important to highlight that.  
5 It's in October. I hope you submitted abstracts, and we'd love to  
6 be able to keep the conversation going. I really do appreciate  
7 the time to be able to share that with you all today.

8           NED CALONGE: Thanks Jelili. We are so appreciative of  
9 the partnership with APHL, and I think everyone that missed your  
10 introduction knows that you're the Director for Newborn Screening  
11 and the Genetics Program at APHL. And also appreciate your work  
12 as Director for the National Center for NBS Excel, great work, and  
13 we always like having you here and keeping us updated, thank you  
14 so much.

15           JELILI OJODU: Absolutely, thank you.

16           (Applause)

17           LETICIA MANNING: And I just want to remind Committee  
18 members that more information on the lab FDA tests can be found in  
19 your briefing book. There is a website with updated information,  
20 webinars, that kind of thing.

21           NED CALONGE: Before we get to new business, I wanted  
22 to quickly clarify something I said yesterday. Shawn McCandless  
23 finished four years of working with the Committee, which is all  
24 you can serve. However, Jennifer and Chanika had some additional  
25 time because they didn't start right when they would have started,  
26 and that's what the extension, which was granted by the Secretary

1 was for.

2 Not because they are so much better, and less  
3 problematic than Dr McCandless, so I wanted to make sure folks  
4 knew that, and we appreciate that you're staying on for your full  
5 four years, that's great. Let me see, Michele, did you have any  
6 new business items?

7 MICHELE CAGGANA: Actually, another one came to mind in  
8 the discussion. But with the sunseting of the regional networks,  
9 as we heard about earlier, one thing that was impacted was the  
10 ability to be able to create and also revise the existing ACT  
11 sheets for newborn screening, and other conditions as well, other  
12 genetic conditions.

13 So, that lost funding with the sunseting of the  
14 networks. So I was wondering if this Committee could find a way  
15 to alert the Secretary about the redirections of funding from the  
16 network to the Propel and Co-Propel that we heard about, so that  
17 we can figure out a path that would allow either ACMG, or another  
18 organization to continue and revise this important tool is used  
19 quite a bit by the newborn screening community.

20 And I think the other, if we can make a recommendation  
21 that any new condition that's added to the panel come with an ACT  
22 sheet, so that was number one. And then the other item that came  
23 up was while Jelili was talking earlier was a discussion of  
24 timeliness, because as we're adding the second tier tests, things  
25 are just going to take longer, and we're not going to be able to  
26 meet these time critical guidelines that were established years



1 ago, which Susan and I talked about a long time ago, when this all  
2 started, so that's my two things.

3 NED CALONGE: Jeff?

4 JEFF BROSCO: Yes, thank you for that. We recognize  
5 the value of the ACT sheets, and before I think you recommended,  
6 we talk to the Secretary before we go there.

7 MICHELE CAGGANA: Yeah.

8 JEFF BROSCO: HRSA is already thinking about ways that  
9 we might be able to do this.

10 MICHELE CAGGANA: Good.

11 JEFF BROSCO: We'll get back.

12 NED CALONGE: And failing that you'll bring it up.  
13 Thank you. Cindy?

14 CYNTHIA POWELL: Yeah. Cindy Powell, ACMG Org Rep.  
15 Just regarding the ACT sheets, and thanks for bringing this up,  
16 Michele. The ACMG has been doing these, you know, expert members  
17 of the college volunteer their time to put together the ACT sheets  
18 and review them and approve them.

19 It has been, you know, funded. The infrastructure  
20 funded through the coordinating center grant. We are still hoping  
21 to continue that, but currently my understanding is that the ACMG  
22 Foundation, so you know, part of our organization that can accept  
23 donations, is trying to get some commercial funding to do this  
24 without causing any conflicts of interest in development of them.

25 But anyway, I appreciate, we appreciate anything that  
26 the Committee and HRSA might be able to do because these are very

1 important, I think, to our primary care providers. You know,  
2 they're utilized by states that when there is a positive  
3 screening, something that can be faxed to the provider who wants  
4 to know, you know, what do I do next? What do I tell the family?

5 And so these are really critical pieces of information.

6 Thanks.

7 NED CALONGE: Thanks Cindy. Melissa?

8 MELISSA PARISI: Melissa Parisi, NIH. I just wanted to  
9 make an announcement about a funding opportunity for this  
10 community, and this is the Rare Disease Clinical Research  
11 Consortia. So, the NIH has 11 different institution centers that  
12 are partnering together with NCATS, the translational sciences  
13 center to put together multi-site consortia that study natural  
14 history rare diseases, also try to prepare for clinical trial  
15 readiness, sometimes early therapeutic development.

16 So all of these things that are so important for the  
17 rare diseases that are screened for under newborn screening  
18 programs. And NICHD, which is the Child Health Institute that I  
19 represent, we have sunsetted our newborn screening translational  
20 research network, or NBSTRN, with the hopes that we can put that  
21 money that we had set aside for that entity into more rare disease  
22 consortia, that would be focusing on newborn screening conditions.

23 And so, we're really looking for applications for  
24 groups that are interested in putting in applications for either  
25 conditions that are currently on the RUSP, or things with the  
26 potential to be added to the RUSP, and we'd be happy to talk to

1 you all if you're interested in putting in a proposal.

2 There are going to be two informational webinars coming  
3 up on May 22 and June 3rd. The deadline for the actual  
4 applications is August 13th, and please reach out to myself or  
5 Mollie Minier, and we would be happy to talk to you. Thank you.

6 NED CALONGE: Thanks Melissa. Shawn?

7 SHAWN MCCANDLESS: Shawn McCandless, Committee member.  
8 Thank you. I actually want to follow up on Michele's comment  
9 about the timing of this issue, and see where does that discussion  
10 stand? Is there a workgroup working on sort of redefining, or  
11 beefing up the discussion about the timeliness of results?

12 Because I think that as I recall this came about in a  
13 somewhat ad hoc way in the past, and has not been sort of formally  
14 readdressed to the best of my knowledge, and I think to Michele's  
15 very good point with some of the newer things that we're adding  
16 it's really going to become critical because of the second tier  
17 testing, and the delays that that imposes.

18 NED CALONGE: Jelili?

19 JELILI OJODU: Jelili APHL, APHL is actually addressing  
20 the next steps to timeliness here, and if it's okay I would like  
21 to introduce Amy Gaviglio, who most of you know, who could talk  
22 more about this.

23 AMY GAVIGLIO: Do I have permission to speak?

24 NED CALONGE: You do.

25 AMYGAVIGLIO: All right. Thank you. No. We do have  
26 a, as part of the new disorder subcommittee, we do have a small

1 task force that we've put together, including Dr. Berry as an SIMD  
2 rep, who was kind of one of the original foundations of the  
3 timeliness to think about the time criticality of these new  
4 diseases, and how they may intersect with tier testing.

5 We may come with some ideas or recommendations in terms  
6 of how we think about timeliness and its intersection of higher  
7 tiered testing, just so that we're not setting up essentially  
8 unachievable goals for programs, so we are working on that through  
9 APHL's new disorders subcommittee. Thank you.

10 NED CALONGE: So we'll hear more, thanks. I want to  
11 spend just a real quick moment recognizing how much work goes into  
12 each one of these meetings, and recognize the side row of  
13 professionals that make sure that we get this done, so starting  
14 with Tina and then Debbie, Alisha, Kim, and the staff who provide  
15 all the great technical work, and can type real time in front of  
16 lots and lots of people.

17 We appreciate everything you do to make this  
18 successful, and I think every one of the Committee members  
19 recognizes the time and effort you put in, so thanks so much. Our  
20 next meeting is August 8 and 9th, what a terrible time to come to  
21 Rockville, but we will be here in the heat and humidity, loving  
22 every minute.

23 Thank you everyone for your time, and I'm going to  
24 adjourn the meeting, thank you.

25 (Applause).  
26

**Adjourn**

1

2

3

4

(Whereupon the Advisory Committee on Heritable  
Disorders in Newborns and Children adjourned at 2:05 p.m.)